

THE VETERANS' ADVISORY BOARD ON DOSE RECONSTRUCTION

MEETING VIII

DAY ONE

ORIGINAL

The verbatim transcript of the Meeting of the Veterans' Advisory Board on Dose Reconstruction held at the Westin Baltimore Washington Airport Hotel, Linthicum Heights, MD, on Sept. 10, 2008.

**STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTERS
404/733-6070**

C O N T E N T S

Sept. 10, 2008

CALL TO ORDER AND OPENING REMARKS (DESIGNATED FEDERAL OFFICER)	7
CHAIRMAN'S WELCOMING REMARKS AND INTRODUCTION OF THE VBDR MEMBERS (VADM JAMES ZIMBLE, USN (RET.))	7
UPDATE ON NUCLEAR TEST PERSONNEL REVIEW (NTPR) DOSE RECONSTRUCTION PROGRAM (DR. PAUL BLAKE)	16
BOARD DISCUSSION	41
UPDATE ON VA RADIATION CLAIMS COMPENSATION PROGRAM FOR VETERANS (MR. THOMAS PAMPERIN)	58
BOARD DISCUSSION	80
PUBLIC COMMENT SESSION	101
BRIEFINGS BY SUBCOMMITTEE CHAIRS:	141
A REPORT FROM SUBCOMMITTEE 1 ON DTRA DOSE RECONSTRUCTION PROCEDURES (MR. HAROLD BECK)	141
A REPORT FROM SUBCOMMITTEE 2 ON VA CLAIMS ADJUCICATION PROCEDURES (DR. RONALD BLANCK)	182
A REPORT FROM SUBCOMMITTEE 3 ON QUALITY MANAGEMENT AND VA PROCESS INTEGRATION WITH DTRA NUCLEAR TEST PERSONNEL REVIEW PROGRAM (DR. CURT REIMANN)	190
A REPORT FROM SUBCOMMITTEE 4 ON COMMUNICATION AND OUTREACH (MR. KENNETH GROVES)	211
COURT REPORTER'S CERTIFICATE	239

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an exact (sometimes incorrect) usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

In the following transcript (off microphone) refers to microphone malfunction or speaker's neglect to depress "on" button.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

ADVISORY BOARD MEMBERSCHAIR

ZIMBLE, JAMES A., M.D.

DESIGNATED FEDERAL OFFICER

MANNER, RANDY, BRIGADIER GENERAL
DTRA

MEMBERSHIP

BECK, HAROLD L.

BLAKE, PAUL K., PH.D., CHP
DTRA

BLANCK, RONALD RAY, D.O.

BOICE, JOHN DUNNING, JR., SC.D.
INTERNATIONAL EPIDEMIOLOGY INSTITUTE

FLEMING, PATRICIA ANN, PH.D.

GROVES, KENNETH L., CDR, MSC, USN (ret.)

LATHROP, JOHN, PH.D.
LAWRENCE LIVERMORE NATIONAL LABORATORY

MCCURDY, DAVID E., PH.D.

PAMPERIN, THOMAS J., MBA
VA

REIMANN, CURT W., PH.D.
NIST

RITTER, R.J., FORMER NCO, USN

SWENSON, KRISTIN N., PH.D.

TAYLOR, GEORGE EDWIN, COL. USA (ret.) (via telephone)

VOILLEQUE, PAUL G., CHP

ZEMAN, GARY H, SC.D., CHP, CDR, MSC
ARGONNE NATIONAL LABORATORY

SIGNED-IN AUDIENCE PARTICIPANTS

AL-NABULSI, ISAF, VBDR
BARNHILL, PATTY, VBDR
BELL, TOM, VBDR
DESMARAIS, KENNETH J., ATOMIC VET
FLOHR, CHERYL, V.A. BALTIMORE
GANZ, JOHN D., ATOMIC VET
GARRIDE, JACQUELINE, HVAC
GOCHNAUR, TIM, DTRA
GUIDRY, MARK, DTRA
HOOTEN, KATE, DTRA
IRBY, ANNE, U.S. SENATOR BEN CARDIN
LEWIS, BLANE, DTRA
SANDERS, JERRY, DTRA
SCHAEFFER, D. MICHAEL, SAIC
SHALLER, EDWARD H., ATOMIC VET
TEAGUE, CARLOTTA, NCRP/VBDR
TENFORDE, THOMAS S., NCRP
VAUGHAN, Elaine, USC, Irvine (telephone consultation)
WOJEIK, C.F., ATOMIC VET
WRIGHT, ERIC, DTRA

P R O C E E D I N G S
SEPT. 10, 2008

(9:00 a.m.)

1
2 CALL TO ORDER AND OPENING REMARKS

3 **BRIGADIER GENERAL MANNER:** We'll actually get
4 started, and the good news is, on time. And I
5 appreciate everyone of course being here.
6 Welcome to the eighth meeting of the Veterans'
7 Advisory Board on Dose Reconstruction. My name
8 is Randy Manner. I'm the Designated Federal
9 Officer for this federal advisory committee.
10 I'm also the Deputy Director of the Defense
11 Threat Reduction Agency at Fort Belvoir. My
12 purpose here is to ensure the meeting is held
13 in accordance with the Federal Advisory
14 Committee Act and the Sunshine Act.
15 I think we have a great agenda and we have --
16 I'm very pleased that we do have some veterans
17 here. I appreciate you very much for coming.
18 I'll now turn over the conduct of the agenda to
19 the Chairman of the advisory committee, Admiral
20 James Zimble. Sir.

21 CHAIRMAN'S WELCOMING REMARKS AND INTRODUCTION OF THE VBDR

22 MEMBERS

23 **VICE ADMIRAL ZIMBLE:** Well, good morning,
24 everyone. I'm delighted to see all of our

1 Board members are here and -- and they look
2 fresh and rejuvenated from whatever, and I'm
3 very happy to have every one of you here.
4 First I would like to welcome General Manner.
5 This is his first -- his first Board meeting.
6 He's -- he's just come to us as the new
7 Designated Federal Official and we're delighted
8 to have a general in uniform in our midst.
9 Makes me feel very comfortable.
10 I would also like to welcome -- I understand we
11 have two atomic veterans here today and I'd
12 like to welcome you. I hope you find this
13 Board meeting informational and I hope that
14 when you talk to your fellow atomic veterans
15 that you'll pass the word as to what has
16 transpired.
17 But I would ask the guests to please not ask
18 questions or make comments during the course of
19 the discussions. There is an appropriate time
20 in this meeting -- in fact, several appropriate
21 times -- when we will open the meeting up for
22 public discussion. And if either of you would
23 be inspired to want to testify or to talk about
24 your experiences or your concerns regarding
25 this program, we'll be happy to hear you. We

1 have opened -- during all of -- all of our
2 previous seven meetings, we have always had
3 some veterans who -- who were members of that
4 unique cohort called the atomic veterans who
5 have been here and who have testified as to
6 their appreciation and perceptions of the work
7 we were doing and of their relationship to the
8 Veterans Administration and to the NTPR. So
9 welcome, and we certainly will welcome any
10 comments you care to make at the appropriate
11 time.

12 I'm also very happy to welcome a -- one of the
13 professional staffers of the House Veterans'
14 Affairs Committee, the Subcommittee on
15 Disability Assistance and Memorial Affairs, and
16 that's Ms. Jackie -- Jacqueline Garride, and we
17 are delighted to have her here. We think that
18 at this particular meeting we have some good
19 news that we would like to share, and we -- we
20 have some -- some thoughts about how we should
21 proceed, and we would certainly look forward to
22 some direction from the House Veterans' Affairs
23 Committee.

24 I would also like to introduce Ms. Cheryl
25 Flohr. Cheryl, are you here? Is that --

1 Cheryl. Cheryl Flohr is a representative from
2 the -- from the Service Center at the VA
3 Hospital in Baltimore, and if any of the
4 veterans here have any concerns about access or
5 any medical problems whatsoever, we have a
6 representative from the VA that you can speak
7 with. And I'm -- we've had -- we've had a rep
8 at every one of our meetings, and they have
9 been extremely helpful -- some of the veterans'
10 problems and I'm very grateful for the VA for
11 their advocacy for all veterans.

12 **MR. PAMPERIN:** Admiral, just to clarify --

13 **VICE ADMIRAL ZIMBLE:** Oh --

14 **MR. PAMPERIN:** -- Cheryl is in the -- she's the
15 Service Center manager for the Baltimore
16 Regional Office that does the disability
17 awards. However, you know, certainly she can
18 address and -- and funnel --

19 **VICE ADMIRAL ZIMBLE:** -- okay.

20 **MR. PAMPERIN:** -- questions regarding health
21 care.

22 **VICE ADMIRAL ZIMBLE:** Okay, but she can't
23 prescribe. Is that what you're saying?

24 **MR. PAMPERIN:** She can't prescribe.

25 **VICE ADMIRAL ZIMBLE:** Okay. I would wel-- I

1 welcome all of the Board members. We are -- we
2 are one -- missing one member due to health
3 reasons. Colonel Ed Taylor unfortunately
4 cannot be with us today, nor can he be with us
5 by telephone. So we will -- we miss him. He
6 was a very, very strong advocate -- a -- one of
7 the atomic veterans and a very strong advocate
8 for their program.

9 In addition, we will at some point have a
10 telephone consultation from a consultant for
11 risk communication, Ms. (sic) Elaine Vaughan
12 will hopefully be here for part of the meeting
13 in case we have any -- any need for her
14 assistance.

15 And with that, I'd like to call the meeting to
16 order and -- and I would like to introduce the
17 Board members. Not that we don't know each
18 other, but I think it's a good idea, for the
19 record, to go around the room. I'd like to
20 start with Dr. Zeman.

21 **DR. ZEMAN:** Good morning. I'm Gary Zeman. I'm
22 the radiation safety officer at Argonne
23 National Laboratory. I'm a retiree of the U.S.
24 Navy. I was -- spent 20 years as a radiation
25 health officer and have broad experience in

1 radiation health and radiation safety matters.

2 **MR. VOILLEQUÉ:** I'm Paul Voillequé. I'm a
3 certified health physicist who's done work in
4 dose reconstruction in various contexts.

5 **DR. SWENSON:** Good morning. I'm Kristen
6 Swenson. I'm a medical physicist. I retired
7 from the Air Force as a health and medical
8 physicist.

9 **MR. RITTER:** I'm R. J. Ritter. I'm ex-U.S.
10 Navy, a Korean veteran, retired marine
11 engineer. I'm the second atomic veteran on the
12 Board and I'm very pleased to be here.

13 **DR. REIMANN:** Curt Reimann, retired from the
14 National Institute of Standards and Technology

15 --

16 Sorry. Curt Reimann, retired from the National
17 Institute of Standards and Technology where I
18 served as a chemist for a number of years, and
19 I chair the subcommittee on quality and quality
20 management for the Board.

21 **DR. MCCURDY:** I'm David McCurdy, consultant to
22 various government agency in the -- in the
23 radioanalytical laboratory areas and
24 measurement uncertainty and quality assurance.
25 And I am a member of the SC-3 subcommittee.

1 **DR. BLAKE:** Good morning. I'm Paul Blake. I'm
2 the Nuclear Test Personnel Review Program
3 Manager at the Defense Threat Reduction Agency.
4 I'm one of the two government representatives
5 actually on the Board, in my case representing
6 DTRA, the Defense Threat Reduction Agency. I'm
7 a retired Naval officer, also a health
8 physicist.

9 **MR. PAMPERIN:** I'm Tom Pamperin. I'm Deputy
10 Director of the Compensation and Pension
11 Service of the Department of Veterans Affairs.
12 We're the ones who pay disability benefits, and
13 I am a retired Reserve Army officer.

14 **DR. LATHROP:** I'm Dr. John Lathrop. I am a
15 risk analyst and decision analyst in the
16 systems and decisions sciences part of Lawrence
17 Livermore National Laboratory, and my fields of
18 specialty are risk management and risk
19 analysis.

20 **MR. GROVES:** My name is Ken Groves. I'm a
21 retired Naval officer. I spent 26 years both
22 as an enlisted man and a radiation health
23 officer. I was the first Director of the
24 Navy's Nuclear Weapons Radiological Controls
25 program, went to work at Los Alamos National

1 Lab, and now have my own consulting business.

2 **DR. FLEMING:** Good morning. I'm Patricia
3 Fleming. I'm the Vice President and Dean of
4 the Faculty at St. Mary's College in Notre
5 Dame, Indiana. I am a philosopher by training
6 and I have worked on the interface between
7 radiation issues and ethical concerns.

8 **DR. BOICE:** I'm John Boice. I'm professor of
9 medicine at Vanderbilt University and
10 Scientific Director of the International
11 Epidemiology Institute. I'm a radiation
12 epidemiologist. I study populations exposed to
13 ionizing radiation. I've done so for the last
14 35 years. I've also retired -- officer in the
15 United States Public Health Service, and I've
16 had a military ID card my entire life. My
17 father was in the military, served in World War
18 II and the Korean War, and my -- my brother was
19 a Navy officer in the Persian Gulf war.

20 **DR. BLANCK:** Hi, I'm Ronald Blanck, internist,
21 former Army Surgeon General, retired as the
22 President of University of North Texas Health
23 Science Center, and currently the partner and
24 vice chairman of Martin Blanck & Associates.

25 **MR. BECK:** Good morning. My name's Harold

1 Beck. I'm retired from the Department of
2 Energy's Environmental Measurements Laboratory.
3 I'm a radiation physicist specializing in dose
4 reconstruction, and I'm the chairman of the
5 subcommittee on dose reconstruction.

6 **VICE ADMIRAL ZIMBLE:** Thank you. And I guess I
7 should introduce myself. I'm Jim Zimble. I'm
8 retired Navy. You've heard about -- you've
9 heard all the expertise that's around this
10 table. I don't have any of that expertise.
11 I'm a physician. But the -- since I don't have
12 any -- any special talents, they asked me to
13 chair this committee, so I'm happy to do so.
14 With that, I think -- oh, I would ask -- I
15 would remind the Board members that when they
16 need to speak, if you'll recall, we have the
17 custom of placing our -- our name plates in an
18 upright position, and when you don't want to
19 speak, you put them back down. And I'll do my
20 best to -- to catch that, and I've asked
21 General Manner to assist me in making sure that
22 I don't miss anybody with their name plate up
23 and then we'll open things up for discussion.
24 I think the first item on the agenda -- and by
25 the way, the -- you veterans have folders and

1 the folders should contain the agenda for
2 today. It should also contain a copy of the
3 public law that created this Board and -- and
4 our charter, so that you can understand what
5 the purpose of this Board was and what we can
6 and -- what we -- what we can do, and I'll
7 explain later, if -- if you need to know, what
8 we can't do.

9 UPDATE ON NUCLEAR TEST PERSONNEL REVIEW (NTPR) DOSE

10 RECONSTRUCTION PROGRAM

11 And with that, I would ask Dr. Paul Blake to
12 please give us a presentation of the work
13 that's -- that has preceded this meeting at the
14 NTPR.

15 **DR. BLAKE:** Thank you, Admiral. I'd like to
16 give a brief update on the Nuclear Test
17 Personnel Review program at the Defense Threat
18 Reduction Agency, with a focus on what we've
19 done since the last meeting. What I'll try to
20 cover is, first, where we are, what the status
21 is of our program, with updates on some of the
22 technical issues; then go over the
23 recommendation status from the Board of where
24 we are on the recommendations that have been
25 proposed; and finally, brief thoughts on the

1 road ahead for the program.

2 If you look at this slide right here, you
3 notice back in about 2003 we had a significant
4 peak on incoming cases, coming in from --
5 mostly from the Veterans Administration from
6 atomic veterans on claims for radiogenic
7 disease. And what had happened back then, just
8 for a little history, was there had been a GAO
9 study and then a National Academy of Sciences
10 study that looked at the dose reconstruction
11 program at DTRA and it questioned some of the
12 methods they were using. And based on that
13 study, there was a decision made at the VA to
14 return all dose reconstructions to DTRA that
15 had not gone to service connection. And so we
16 had a large peak on incoming cases at that
17 period of time.

18 After that period of time, incoming cases have
19 been fairly steady. And people often ask why
20 is it still steady? Obviously our population
21 of atomic veterans is aging, wouldn't that drop
22 off? But as you may expect, as people age they
23 develop more disease and therefore are more
24 likely to file for compensation based on that
25 disease. We have yet to see any significant

1 drop-off in work level coming in. And I expect
2 that will happen in a few years, but we're
3 still at the point where it's a fairly level
4 workload coming in.

5 Right as of now, over the last four months, we
6 averaged about 97 cases coming in per month.
7 Of that, probably about two-thirds were from
8 the VA and the other third was based on
9 personal inquiries and responses to the
10 Department of Justice where veterans can also
11 file for compensation. Of the VA cases coming
12 in, probably about 30 of them were actual
13 requests for dose reconstruction where we had
14 to do a significant amount of work.

15 I do have one other highlight up there I think
16 that's very important, and that is when the VA
17 Regional Office came on line. And one of the
18 recommendations that came out from this Board,
19 which was a great benefit I believe to both the
20 VA and DTRA, was to centralize all the
21 radiogenic disease claims in one VA Regional
22 Office instead of I believe the 57 or 52
23 offices they had. And that helped us
24 tremendously on interfacing between the two
25 agencies, so a really significant breakthrough,

1 from our viewpoint at DTRA, when VA centralized
2 their claims office. And we work very well
3 with them on almost a daily basis on -- back
4 and forth with phone calls, e-mails and
5 clarification. Having just singular points of
6 contact is -- is great.

7 I would like to show you, at least from a
8 graphical viewpoint, of the impact of what this
9 Board has done for us. If you look back on
10 when that public law was actually passed, and
11 then after the public law occurred we started
12 having meetings -- I believe the first meeting
13 started in 2005 from this Veterans' Advisory
14 Board. And at the first meeting we simply
15 introduced concepts, no recommendations came
16 out. But by the second or third Veterans'
17 Advisory Board meeting, we started getting
18 recommendations.

19 And our agency was in problems at that period
20 of time with regards to this program in that
21 we'd had a large backlog of veterans' cases and
22 we were trying to solve the problem. And the
23 difficulty was, we basically doubled our budget
24 and, as you can see, the cases -- caseload was
25 not coming down that quickly, even with

1 throwing money at the problem.
2 What we needed was a different approach, and
3 the challenge in changing approaches within a
4 federal agency is we have to follow the public
5 laws and our Code of Federal Regulations. And
6 the Code of Federal Regulations that we were
7 following at that period of time said we had to
8 complete dose reconstructions in this very
9 rigorous manner, and it turned out to be both
10 very time-consuming and expensive, and not
11 necessarily the appropriate way to go.
12 And so what the VBDR did for us, through
13 discussions and public recommendations, allowed
14 us to develop an expedited dose processing.
15 And basically what we switched from was doing
16 mean doses and the associated uncertainty with
17 that, going to an approach of upper bounds.
18 Based on our large historical repository of
19 dose assessments we could say here's what the
20 worst possible case is, and then assign that to
21 the veteran. And that greatly improved the
22 program, and you can see the dramatic drop-off
23 based on those VBDR recommendations.
24 And as of now, total cases that are at DTRA --
25 we're a little less than 150. Our mean

1 response time is about 44 days. Some cases
2 come in, go out fairly quickly. But the cases
3 that are more challenging, why the -- the
4 maximum right now is 128 days, are we have to
5 do a number of interactions with our veterans.
6 And with our veteran population, most of our
7 interaction's done by telephone or mail. We
8 don't use the internet and e-mails as much for
9 what we have to do.

10 And for instance -- on a dose case, for
11 instance, typically we receive the case from
12 the VA. We send out a letter to collect
13 history from the veteran. We have to send that
14 out, receive the response. We also have to get
15 a Privacy Act release form. That takes a
16 period of time. That information comes back.
17 We then develop a Scenario of Participation And
18 Radiation Exposure that takes that individual's
19 -- veteran's history. We do a lot of research
20 on the particular unit they're associated with.
21 We put that all together. We send it out to
22 the veteran again to get input. Based on that
23 input, once again it comes back to our agency,
24 then we can start the dose reconstruction,
25 whether it's a non-expedited or expedited. And

1 as you expect, that takes some period of time.
2 So I believe even though we've reached an
3 optimized system, the mean response time will
4 truly stay around 40 to 50 days. And our
5 metric for success is to make sure that no
6 cases take longer than six months to go
7 through. And right now you can see that even
8 the -- the worst possible case is only about
9 128 to 129 days. So from our viewpoint, the
10 recommendations of the Board have really
11 optimized the business processes at the Defense
12 Threat Reduction Agency for the NTPR program.
13 So as I point out, the recommendations have
14 significantly improved our responses to
15 veterans, to VA, Department of Justice.
16 They've significantly increased favorable
17 medical opinions. And where I can't speak for
18 the VA on compensation, the VA does present
19 data in a public forum, without Privacy Act
20 material, that discusses medical opinions. And
21 when you analyze that data, the impact that
22 occurred from this Board on VBDR
23 recommendations was to increase favorable
24 medical opinions for atomic veterans from nine
25 percent to 29 percent, basically a 20 percent

1 improvement. And that was based on primarily
2 service connecting skin cancers and cataracts
3 that can also come about from skin
4 contamination going into the eyeball.

5 And that was the significant increase because
6 primarily through the compensation program when
7 radiogenic diseases -- cases come over to DTRA
8 from the VA, they come over from either a
9 presumptive or non-presumptive compensation
10 roll, and the cases that we primarily see that
11 require dose reconstruction at this point are
12 primarily skin cancers and prostate cancers,
13 making up over 80 percent of the workload
14 'cause we're dealing with primarily a male
15 population.

16 The expedited savings within just a two-year
17 period, Fiscal Year '06 and '07, saved us
18 between \$15 and \$16 million, our agency, as
19 we're able to expedite. I no longer quote cost
20 savings anymore because it's become the
21 standard of how we have done that, but it would
22 be significantly less because that -- that was
23 based on backlog and now we're in a steady
24 state condition.

25 It significantly dropped inquiries from

1 Congress based on veterans' interest to the --
2 asking their Congressmen to ask DTRA what was
3 going on. We were averaging, in that period of
4 time, about six Congressional inquiries per
5 month. We're down to one or less now. There
6 was a significant backlog in responding to
7 those Congressional inquiries, too, as we tried
8 to get the information.

9 We've also, as I mentioned, reached an
10 optimized and steady state condition.

11 I'd like to speak a little bit about where
12 we're going with our program, and the first
13 thing is we are updating our Radiation Dose
14 Assessment procedures, and perhaps the most
15 important part of that procedure is how we deal
16 with uncertainty. When we look back 50-plus
17 years and try to look at -- to calculate doses
18 for our veterans at that period of time, some
19 of them were wearing film badges, some of them
20 weren't. But even if they wore a film badge,
21 how do you take into account the inhalation of
22 radioactive material from fallout and a
23 resuspension, what fell on their skin -- the
24 film badge doesn't give you all the information
25 in that case. It's a very challenging

1 calculation, and perhaps the biggest part that
2 drives the program is the uncertainty
3 associated with that calculation, and that's
4 where we're focused now.

5 We're in the process of getting ready to
6 publish a DTRA Technical Report on
7 "Probabilistic Uncertainty Analysis in the NTPR
8 Radiation Dose Assessments." The initial
9 report's been prepared. It's undergone the
10 initial external peer review. We're revising
11 those comments. My hope is -- once we have the
12 draft report ready to go that's gone through
13 the first layer of peer review -- is to forward
14 it to my fellow VBDR members for a peer review
15 and chop, too, before we finalize and publish
16 it.

17 Technical Basis Documents are the foundation
18 that our Standard Operating Procedures are
19 based on that we then do the dose
20 reconstructions, and so the science is really
21 based on these Technical Reports that people
22 chop on, say are they effective. Then based on
23 that we say here is the step-by-step procedure
24 of how we actually do a dose reconstruction.
25 From a quality viewpoint, another place where

1 we're much more focused now at this period of
2 time in the program -- a recommendation that
3 came from the Board, from our quality assurance
4 group, was to undertake double-blind radiation
5 dose assessments in our comparisons. And we
6 recently just finished our fourth one. We did
7 them in both June of 2007, January, April, and
8 just recently in July of 2008. And how these
9 double-blind assessments were performed was an
10 NTPR health physicist completed one through the
11 standard operating procedures where one health
12 physicist will do the initial workup, a peer
13 will do a technical review on that, and finally
14 a senior health physicist will then review
15 that; and then it's sent down to another
16 external group to do a review. So there's a
17 lots of checks and balances in this process
18 because they're complicated to do. And -- but
19 we also brought in two non-NTPR health
20 physicists to completely independently perform
21 these dose reconstructions, but using the NTPR
22 standard operating procedures. And I think
23 what we've noted is, one, significant progress
24 in the documentation to do these procedures;
25 and two, that -- I realized after we started

1 this that we needed to actually do a formal
2 training program for our non-NTPR health
3 physicists, which we conducted, and that
4 certainly was beneficial. But even with all of
5 that, it's challenging for credible health
6 physicists who are knowledgeable in this area
7 still to produce a credible dose reconstruction
8 that's similar to what the SAIC, our contract
9 staff, does at DTRA for the government health
10 physicists. So it's an ongoing, continuing QA
11 feature of our program, and we received some
12 recommendations from some of the panel members
13 at a technical level yesterday that we look
14 forward to implementing in the future.
15 Another area where the Board has focused us
16 through recommendations is an independent
17 review of the expedited radiation dose
18 assessments. And there the concept that has
19 come has been a Decision Summary Sheet. Can we
20 -- can we write down exactly what were the key
21 points in this decision tree that made us
22 decide to go from an expedited process versus a
23 full radiation dose reconstruction. And the
24 DSS concept has actually morphed, based on
25 recommendations from the last meeting, into two

1 parts. One is done by the government health
2 physicist where we go through and basically
3 triage the case -- does it look like it is a
4 normal case or is it an exceptional case that
5 we require a much more rigorous calculation.
6 That information is pulled from our centralized
7 database. We look at all the previous
8 radiation doses or film badge information and
9 then make a decision, and then it's sent down
10 to an external entity to actually review the
11 government physicist's decision. And finally
12 it comes back to the government to actually
13 sign that out. In all cases the government is
14 ultimately signing out the dose assessments,
15 making the final decision. But we've
16 instituted, based on the Board's
17 recommendations, some -- an external chop on
18 some of the decisions that the government's
19 actually doing, which is -- which is fine.
20 That's part of the peer review process.
21 But we've also implemented a different -- a
22 second part to the Decision Summary Sheet where
23 when we do a full radiation dose reconstruction
24 now we write a summary of the key decisions
25 that were actually involved in that, too. And

1 we find that very useful, at least when we go
2 through the audit process.
3 I would mention to you when you add this type
4 of quality assurance to any type of business
5 plan, it does take some more time, take some
6 more dollars to put it through. And so my
7 estimate was when we put this in place it would
8 take -- it would add an extra one to two weeks
9 onto our bottom line. So I was somewhat
10 concerned that our average 44 days would grow
11 by one or two weeks. It's grown a little bit,
12 but where we also gained some significant
13 savings in through-put was an arrangement that
14 Mr. Pamperin, my counterpart from the VA,
15 offered was we hooked up our virtual private
16 network so we could move data back and forth
17 between the VA and DTRA through an encrypted
18 method over the internet -- 'cause we deal with
19 Privacy Act material. And what that will do,
20 and we're in process of moving ahead on that,
21 is basically it'll cut down our through-put
22 time 'cause we no longer have to count for the
23 mail moving back and forth.
24 And if both agencies were digitizing in the
25 first place, why duplicate that information?

1 It should be dup-- it should be -- the data
2 should be digitized at one facility, then
3 shared with the other facility. So obviously
4 we put very strong controls on what information
5 can be shared and so forth that's appropriate,
6 but there is a certain amount of information
7 that is passed back and forth through the
8 agencies for us to do our work. And if we can
9 move that faster, we can improve our business
10 practices.

11 Here is a follow-on slide showing the graphics
12 from when we actually did the Decision Summary
13 Sheets from our -- by our external contractor.
14 And the terminology I would show there is the -
15 - we used reject. I think the more appropriate
16 term would be a technical comment, and then an
17 editorial comment, which is more a comment on
18 judgment. And for instance, two examples of
19 that yellow -- or yellow block on when we're
20 reviewing dose reconstructions.

21 For instance, the technical comment there in
22 red would be based on -- an example was we were
23 reviewing a Hiroshima/Nagasaki dose
24 reconstruction and the government analyst
25 stated the period of time that the exposure

1 actually occurred, and was off by one day. And
2 so the external reviewer looking at that says -
3 - noted that problem, said that's a mistake --
4 it's not a judgment call, it's actually a
5 mistake. That came back and that was corrected
6 before the actual dose reconstruction was
7 signed off, so there would be a technical
8 problem.

9 And editorial concern is more of a judgment
10 call, and one of the areas where we often have
11 to use judgment is we're reporting doses to a
12 specific organ. How much energy is actually
13 deposited in there is the risk factor that
14 determines the probability of causation. In
15 some cases, for instance, a veteran may file a
16 claim -- let's say for a disease that wouldn't
17 typically be considered radiogenic, let's say
18 arthritis. How do you determine where you're
19 going to report that dose to arthritis that
20 deals with cartilage throughout the body is a
21 challenge. And the other challenge, from a
22 physics viewpoint, there are factors known as
23 dose conversion factors that aren't necessarily
24 there for certain types of organs. So there's
25 some judgments that'll have to be made on

1 occasion, and in some cases that's not
2 appropriate by health physicists. We actually
3 bring in a -- a very well-trained clinician, a
4 physician, to help us determine how to make
5 those judgment calls. And in that case the
6 editorial was a question, did the government --
7 DTRA -- basically make the right judgment
8 there; and if not, what should be documented is
9 the actual medical opinion by the physician on
10 saying which organ we're actually going to use
11 and what was the basis for that.

12 This Virtual Private Network between the two
13 agencies is basically moving secure PDF
14 documentation, scanned in, between the two
15 groups. It certainly sped us up, and it also
16 allows for a weekly case status exchange so we
17 both know exactly where -- where each case is
18 at what period of time. So if the VA has any
19 inquiries or DTRA has any inquiries, we're both
20 on the same page.

21 I'd like now to move into the status of the
22 VBDR's recommendations specifically to my
23 agency. There've been a total of 18 formal
24 recommendations that have come over from Chair
25 -- signed out by the Chair to the Director of

1 my agency. The first ones started coming in
2 June of 2006. They followed in November,
3 March, April and September of 2007. And for
4 the first time, at the last meeting we didn't
5 receive any formal recommendations. And as of
6 last meeting when I briefed you, 11 of those
7 recommendations had been completed and seven
8 were ongoing, and I'd like to give you now the
9 status of the seven ongoing recommendations.
10 Here's a summary of which recommendations are
11 still ongoing, and the first one deals with
12 NTPR undertaking a comprehensive analysis of
13 uncertainties for all beta dose exposure
14 scenarios. And when this recommendation was
15 originally made back in June of 2006, we had
16 not developed and implemented the expedited
17 skin dose methods, and so this was -- this
18 recommendation had a much greater impact. Now
19 it has a -- a significantly less important
20 impact on our program since many of these cases
21 are basically released based on an expedited
22 dose, but it still does impact some of the
23 Hiroshima/Nagasaki cases and some of the
24 exceptional cases. So it -- it's an ongoing
25 event where we've -- we've published -- well,

1 we've drafted DTRA Technical Reports. We've
2 actually sent them out to an external
3 contractor -- in this case it was the National
4 Council on Radiation Protection -- and they
5 came back, after months of review by senior
6 scientists looking at our Technical Basis
7 Documents, came back with a good, thorough peer
8 review of that. That's come back to our side.
9 We've come back with our recommendations, and
10 so we bounce back and forth once or twice
11 before we finally resolve it and go forward to
12 technical publication. And we're in the
13 process of finally publishing this Technical
14 Basis Document, but the -- the peer review
15 process in science takes time for people to do
16 things, and that's where we are on this one.
17 But we hope to -- within a few months to have
18 this finally published and move on.
19 The next recommendation was a recommendation --
20 after NTPR's implementation of a QA Plan,
21 Program and Procedures Manual -- which has been
22 completed -- NTPR submit the following key QA
23 tracking results to Subcommittee 3 on a
24 quarterly basis. And this has been done
25 somewhat informally, but the -- for instance,

1 yesterday I released formally to SC-3 the
2 performance and QA metrics, the QA corrective
3 actions and audit reports. But we've been
4 briefing these at various meetings to SC-3.
5 And once again, this is a little bit of
6 evolving process. As we present the metrics,
7 they come back with some recommendations on how
8 to improve them where -- where we're moving.
9 The next recommendation is that a detailed
10 Standard Operating Procedure (SOP), including
11 incorporated Standard Methods, be developed
12 that ensure the appropriate treatment of upper
13 bounds. Well, we have published that
14 procedure. It runs to over 1,400 pages of very
15 great technical detail on how we do dose
16 reconstruction. And the initial publication of
17 the uncertainty section was in March of 2008.
18 But I will tell you that is still an area that
19 is undergoing revision and peer review, and so
20 when we once again complete that, we'll be
21 forwarding -- as I mentioned earlier -- to
22 members of the VBDR to take a look and give us
23 some final feedback before we actually publish
24 it on the internet -- on the web to show how we
25 actually do our methods.

1 The next recommendation, number 14, was for
2 NTPR to discontinue the use of default upper
3 bound factors for non-expedited radiation dose
4 assessments and develop procedures to perform
5 full probabilistic uncertainty analyses for
6 these assessments. NTPR standard operating
7 procedures should specify whether uncertainty
8 estimates from individual sources are
9 independent or correlated, and when and how
10 uncertainty should be propagated. A
11 significant part of this has actually been done
12 and is in our Procedures Manual, but -- as I
13 mentioned before, this is similar to the
14 previous one -- we're still undergoing some
15 peer review and revision to that procedure.
16 The next recommendation was for NTPR to ensure
17 its external review entity conducts spot checks
18 of specific -- of specific calculations and
19 computer programs. Example, our MathCAD
20 template output. MathCAD is the software that
21 we use to complete a radiation dose assessment,
22 the actual calculation. For people who've used
23 Excel, MathCAD is somewhat similar to that, but
24 all your dimensions, your units, are
25 incorporated into that and it has some

1 significant other features where we do
2 different fits and interpolations and
3 extrapolations in our data. For more
4 complicated calculations, the MathCAD
5 calculations can run 70 to 80 pages. This is
6 where the complexity gets involved that -- when
7 a nuclear weapon goes off many types of
8 radionuclides are produced, decaying at
9 different rates, and some of our veterans were
10 marching through periods of fallout and areas
11 where it was more than just the initial weapon
12 fallout. There was previous weapons where they
13 were marching through. The complexity becomes
14 very significant on some of these calculations,
15 and then trying to determine, many years later,
16 what was the actual scenario; where did they
17 march or where were they located. This is what
18 makes these calculations extremely time-
19 consuming. And part of that was -- we were
20 requested was to do a validation and
21 verification of the software that we'd done --
22 that we use on a daily basis, and that's in the
23 process down through an external contract group
24 known as Oak Ridge Associated Universities is
25 now completing their -- the initial validation

1 and verification of our software program. We
2 have a senior health physicist working with a
3 commuter staff reviewing what we've done.
4 Obviously we've done our own reviews internally
5 looking at this program, but we're in the
6 process of using an external contractor to
7 validate and verify our software.

8 With regards to spot checks, those spot checks
9 you saw are ongoing, some of those are in the
10 graphical chart I showed you before, if you
11 remember that like yellow, red and so forth
12 showing the -- it was actually the results --
13 summaries of some of those spot checks that are
14 ongoing.

15 Recommendation number 16 in September of 2007
16 was that NTPR document its justification to
17 expedite a case in the case file and that
18 external quality assurance audits comment on
19 the appropriateness of the decision to ex-- to
20 expedite. I discussed this with -- somewhat
21 earlier in the concept of the Decision Summary
22 Sheets. I think we've made some significant
23 progress and success on this. There are --
24 we're still tweaking and still releasing to SC-
25 3 where we are, and yesterday I promised to --

1 over the next few months to give them a
2 complete analysis of all the Decision Summary
3 Sheets that we've been releasing, so I -- I
4 think we're most of the way there on this one,
5 but we're still looking for the final tweaks
6 before we declare victory.

7 September of 2007 recommendation number 17 was
8 NTPR to expand its technical bases and criteria
9 for expedited case processing. We drafted a --
10 a lot of this material was in our previous
11 policy and guidance manual. We're -- moved it
12 down to our Radiation Dose Assessment SOP and
13 released a chapter, though it's not been
14 incorporated yet, on assessment of these
15 expedited cases. One of the recommendations
16 that came through previous SC-1 meetings was to
17 include how we did this process for non-
18 radiogenic disease, and I believe we've
19 included that in there, but we've yet to
20 release it to SC-1 and SC-3, and I hope to get
21 that out in the next month or two to you.
22 So in summary, DTRA accepted for action all 18
23 VBDR recommendations that have -- that have
24 been delivered to us from June 2006 through
25 September 2007. We've acted on all the

1 recommendations. And for the recommendations
2 that are still ongoing, we certainly support
3 continuing those. Some of the recommendations
4 are open-ended. For instance, providing
5 quarterly NTPR quarterly metric summaries to
6 VBDR SC-3, and SC-1 and we're happy to support
7 that. We'll continue providing that
8 information.

9 So the road ahead. We still have one major
10 challenge in front of us, and that is to take
11 all the recommendations and how they have
12 affected our procedures and basically revise
13 our Code of Federal Regulations under the
14 Department of Defense that's entitled, briefly,
15 the "DTRA Dose Reconstruction Policy," and
16 hopefully we can -- that's been pushed back a
17 little bit as we continue to see some technical
18 challenges and feedback from the Board. But I
19 hope within the next year to actually have that
20 occur.

21 From a viewpoint of the NTPR program, even
22 though we've optimized our business practices,
23 from a technical viewpoint in refining our
24 SOPs, we're still busy, very much so, over the
25 next year. I hope after about a year that

1 things will calm down, but we're still -- based
2 on your feedback and input, we're still very
3 busy on publishing our Technical Basis
4 Documents and getting ready to post all our
5 procedures on the web. And I see that as at
6 least another full year of effort before we
7 feel like we're -- we're satisfied where we
8 are.

9 And there's been some discussions on possible
10 transitions to the Veterans' Advisory Board on
11 Dose Reconstructions, and we certainly will
12 support whatever the agency directors feel
13 comfortable and stand behind that.

14 So at this point in time, I'm finished with my
15 brief comments.

16 **BOARD DISCUSSION**

17 **VICE ADMIRAL ZIMBLE:** Dr. Blake, thank you so
18 much for that presentation. That -- you've
19 brought everything together very well and I'm
20 really very pleased with the way both agencies
21 have accepted recommendations that -- that
22 worked with the Board to -- to expedite process
23 and -- and move things along. And it's been a
24 -- it's been a good experience for the Board.
25 It's been a good experience, as far as I can

1 tell, by the agencies. And although we haven't
2 heard from Mr. Pamperin yet, I would say the
3 same with Mr. Pamperin, that -- that the
4 cooperation that this Board has received from
5 both agencies has been outstanding.

6 The Board -- for those of you who don't know,
7 the Board meets here -- this is the eighth --
8 eighth session in three years, but in between
9 these sessions subcommittees are working with
10 dose reconstruction or with the claims
11 processing or with quality assurance and
12 quality control, or with communication, these
13 four subcommittees have worked very hard, many
14 times both electronically and -- and traveling
15 together to meet, and put in some really long,
16 hard hours to come up with recommendations that
17 we hope will help the process.

18 This is a truly dramatic event that we really
19 ought to have some fireworks and -- and drop
20 down some balloons because the -- the -- the
21 steady state that you've reached is certainly
22 an acceptable one to the veteran. To get a
23 response back on -- at -- on average of only
24 six weeks is remarkable. I mean we're talking
25 about the government. We're talking about the

1 bureaucracy. We're talking about lots of in
2 and out baskets that have to happen. But --
3 and what has to be accomplished in -- in trying
4 to ascertain what an individual atomic veteran
5 received in dosages when he doesn't -- when he
6 did not have a dosimeter or a film badge and
7 have to look at the fingerprint of the
8 particular atomic test in terms of the types of
9 isotopes it will produce -- the calculations
10 are ominous. They require a great deal of
11 precision. There's a great deal of
12 uncertainty. And I would tell you that every
13 time an assumption is made there's one very
14 strong edict, and that is that assumption will
15 be made to the benefit of the doubt of the
16 veteran. So we've always moved in the
17 direction of giving the veteran the benefit of
18 the doubt. The process was like a master's
19 thesis. The process cost a lot of money and
20 the process produced finally a best estimate of
21 a dose received many, many, many years ago in
22 unusual circumstances. And what was the usual
23 response time when we started this process and
24 before we started this process?

25 **DR. BLAKE:** Well, before we started the process

1 we had cases backed up because of that period
2 of up to four years, and that --

3 **VICE ADMIRAL ZIMBLE:** Right.

4 **DR. BLAKE:** -- was simply unacceptable. The
5 res-- the mean response time was certainly less
6 than that, but that's the worst case analysis,
7 and I -- and I don't quote the mean response
8 time because it was -- it was -- it so varied.
9 What we ended up doing when we got behind was
10 we pushed the tougher cases to the back. We
11 got the easier ones out, but then those tougher
12 cases hung on for a while.

13 **VICE ADMIRAL ZIMBLE:** Right. The -- the
14 genesis of -- of this Board, the creation of
15 this Board was specifically because of the
16 problems that were recognized on the Hill by
17 the Veterans' Affairs Committees in the House
18 and Senate, delays that were just
19 unconscionable for the veteran. And so we came
20 up with some recommendations and we -- we gave
21 -- we gave Dr. Blake 18 recommendations. I'm
22 sure he welcomed them all and wanted a lot
23 more. But -- but the bottom line is that we
24 have made a significant stride in terms of both
25 the -- the way that we did the expe-- expedited

1 doses is -- looking at worst case scenarios,
2 though, is -- I don't think there's a single
3 case where, if you did an actual dose
4 reconstruction, it would match the number that
5 we expedited and gave them -- gave them a worst
6 case dose. And for that reason, a lot of
7 veterans who had certain types of skin cancers
8 received a very positive feedback from -- from
9 their claim.

10 I -- I'm delighted that the staff --
11 professional staff member, Ms. Garride, is here
12 from the House Veterans' Affairs Committee.

13 I'm -- I'm -- I know the Board is disappointed.
14 We were hoping that Congressman Filner, who's
15 the chairman of that committee, ha-- he had
16 intended to join us, and -- and we were going
17 to enter into a dialogue with him.

18 Unfortunately there -- this is -- these are
19 trying times on the Hill and he had some things
20 that demanded his attention that had a higher
21 priority. But I am delighted that you're here,
22 Ms. Garride, and I sure hope that you relay
23 back to him the great success that has occurred
24 with the veterans' Board in terms of this.

25 And I -- I'm going to open up now to the Board

1 for any comments or questions you have. I'm
2 going to save my comment - I have one question
3 and I'm going to save it to the very end.

4 **DR. BLAKE:** Yes, sir.

5 **VICE ADMIRAL ZIMBLE:** Dr. Boice?

6 **DR. BOICE:** Well, Dr. Blake, I'd also like to
7 reiterate what Admiral Zimble said on
8 congratulating you on all the accomplishments
9 that you very nicely summarized in this short
10 time of a very complex subject and what you've
11 done over the last three years.

12 I was also impressed with what you said, if I
13 understood properly, that early on, before we
14 started, the favorable reviews for compensation
15 was on the order of nine percent and now
16 they're up to around 29 percent, and that
17 seemed to be also a -- an accomplishment in
18 favor of the veterans.

19 I -- there's -- I say -- perhaps you -- my one
20 question, though, and I was impressed, of
21 course, going -- that the -- that the dose
22 reconstructions from beginning to end on
23 average take about 44 days now. And I thought
24 that -- and -- and this is my question, if
25 that's -- my question is, is that in fact from

1 when you receive the request for the dose
2 reconstruction it takes 44 days, or is it --
3 the 44 days the entire time from when a veteran
4 makes his request for consideration and before
5 he gets a yes or no response? What is the --
6 seems to me that is a -- an appropriate time of
7 ad-- from the request being made to when he
8 gets an answer yes or no.

9 **DR. BLAKE:** Dr. Boice, two points there. One,
10 that metric's defined on when we receive it at
11 DTRA, so we date stamp it when we receive the
12 request from VA. But the -- the second thing
13 is, that overall metric of 44 days looks at all
14 incoming -- the average for all incoming --
15 dose reconstructions are usually the ones that
16 take a lot longer, so they're sitting at the --
17 more the 128-day case. That includes
18 historical reviews for presumptive
19 compensation, personal inquiries and so forth
20 on the 44 days. So the more challenging dose
21 reconstructions are sitting more on the -- the
22 farther side, but we're still getting them out
23 within four months, and under the six-month
24 metric that we use -- that we declare is what
25 we need to do with -- at our agency.

1 **VICE ADMIRAL ZIMBLE:** I might add also that the
2 -- that you're only now having to, despite
3 getting about 100 cases a month, now doing
4 only doing about two or three full dose
5 reconstructions.

6 **DR. BLAKE:** It might be even a little less than
7 that, but that's right, sir.

8 **VICE ADMIRAL ZIMBLE:** Is that right R. J.
9 Ritter?

10 **MR. RITTER:** Dr. Blake, I sit here wearing two
11 hats, one as a member of the VBDR and the other
12 representing America's atomic veterans. And I
13 know you don't get any good news phone calls in
14 your office, but -- but we do. And since you
15 have started improving the system and
16 shortening the time between filing a claim and
17 at least getting some word back to the veteran,
18 we've heard from the community and they're very
19 pleased. And on their behalf, I want to thank
20 you again for this presentation.

21 **DR. BLAKE:** Mr. Ritter, thank you very much,
22 but I -- I have to tell you, we do get some
23 good news from the veterans, too. For the --
24 for the most part, they're a respectful group
25 to deal with. Lieutenant Commander Sanders,

1 who's my deputy in uniform out there, is often
2 on the phone with them, so they're dealing with
3 an active duty officer, so they can interface--
4 on a personal basis. He is the one who is
5 signing out all the decisions at this period of
6 time. So even though it's a combination of
7 civil servants like myself, or retired, active
8 duty, contract group working it, the interface
9 that the agency sees for the most part is our
10 uniformed service representative who's there,
11 so -- and we do get positive feedback from the
12 veterans' community.

13 **VICE ADMIRAL ZIMBLE:** Dr. Swenson.

14 **DR. SWENSON:** I kind of have a follow-on to
15 John's question. That 44 days, you date stamp
16 it when you get -- receive it from the VA, and
17 then you send your result to the veteran, but
18 you then don't include the time that the VA
19 takes to respond because you have no idea how
20 long they will take to adjudicate the claim.
21 Correct?

22 **DR. BLAKE:** That's exactly correct.

23 **VICE ADMIRAL ZIMBLE:** Mr. Beck, you changed
24 your mind? Oh, Jeez, okay.

25 It's obvious from -- from where we stand now

1 that there's going to -- there needs to be a
2 look at a change in direction of the VBDR. I
3 think you -- you welcomed the fact that at the
4 last meeting we offered you no recommendations,
5 and the way you're going I'm not sure that it's
6 going to be any more recommendations that are
7 going to come, at least for a while. And so
8 one of the major thrusts of this meeting is to
9 look at where we are and to look at what you've
10 described as the road ahead. We've reached an
11 acceptable steady state in dose reconstruction
12 efforts and in reporting back to the VA, and
13 the VA has -- has made some big strides in --
14 in moving things along, especially with the
15 regionalization -- the -- moving all cases that
16 involve ionizing radiation to one particular
17 VARO instead of leaving it disseminated among
18 57 VAROs. So we've come a long way and now we
19 need to examine what -- the direction we should
20 take in -- in order to move forward. And
21 that's going to be the primary subject of the
22 remainder of this meeting after we get a report
23 from Mr. Pamperin, after we look at what's left
24 to be done, and then we're -- we will get the
25 reports from the four subcommittees and then

1 we'll open up some discussion regarding the
2 strategy and -- and what is the vision for the
3 -- for this Board.

4 My one question, one final question to you, Dr.
5 Blake, what more do you feel the Board can do
6 for you? Not to you, for you.

7 **DR. BLAKE:** The 18 recommendations for the most
8 part, as you know, have been extraordinarily
9 helpful to our agency and to our -- the NTPR
10 program. But I -- I think we're reaching a
11 point where recommendations are not so much
12 what we need, and I indicated that at the last
13 meeting. And even the strong audits that have
14 been ongoing on our dose reconstruction program
15 probably aren't needed as much because of the
16 way we've evolved.

17 What I still need support from the Board,
18 though, is the technical expertise, the peer
19 review process, and the ongoing looks at what
20 we're doing. Getting that feedback is
21 invaluable to us. I think any scientist needs,
22 or clinician needs, that feedback, and the
23 expertise that's on this Board is unique. One,
24 you've been working very hard for three years
25 looking at -- in depth at what we do. You're

1 very familiar with what we do. And certainly
2 the scientists and the physicians are world-
3 renowned who are providing this expertise, and
4 specifically in this area. And I don't look
5 forward to losing that. I -- I need that at
6 least for another year plus, a peer review of
7 what we're doing, so that's where, from my
8 viewpoint, this NTPR program (unintelligible)
9 and I would look forward to help from the VBDR.

10 **VICE ADMIRAL ZIMBLE:** Okay, thank you very
11 much. Dr. Reimann.

12 **DR. REIMANN:** Paul, could you -- could we pull
13 up slide number nine a minute?

14 **DR. BLAKE:** Okay, I think it's -- we've lost
15 the -- maybe they can put it back up for us.

16 **DR. REIMANN:** It's the one that says program
17 update, quality, and it mentions --

18 **DR. BLAKE:** Yeah, we'll try to get that in a
19 second.

20 **DR. REIMANN:** Number nine?

21 **DR. BLAKE:** They've got to bring up the -- the
22 PowerPoint for a second.

23 **DR. REIMANN:** Oh.

24 **DR. BLAKE:** They went back to the introductory
25 comments. There we go -- okay, there.

1 **DR. REIMANN:** There we go. You mention the
2 external DSS review and so on. I think on --
3 some points of clarification on your -- on your
4 index or -- or bar code on the right --

5 **DR. BLAKE:** Okay.

6 **DR. REIMANN:** -- reject and so on, that's
7 really something that's hard to be actionable
8 because it -- it sort of reflects internal
9 jargon. All of these that are not approved are
10 reworked in some way so --

11 **DR. BLAKE:** Right.

12 **DR. REIMANN:** -- this has nothing to do with a
13 rejection from the point of view of the veteran
14 and so on. But what we'd like to -- to see or
15 discuss is more of the dimensions that -- from
16 the DSS review point of view that show up as --
17 as error types that reflect the kind of --

18 **DR. BLAKE:** Sure.

19 **DR. REIMANN:** -- improvement cycles that would
20 then speed up the process and also reduce the
21 burden of quality and so on. So could you
22 comment a little bit on -- on how that DSS
23 review is done, the items looked at and how
24 they translate into the code so that, for
25 example, we have a better sense of, as you

1 become more and more operational and -- and we
2 certainly appreciate that you are doing these
3 DSS reviews.

4 **DR. BLAKE:** Thank you.

5 **DR. REIMANN:** -- becoming more and more
6 operational, but that the kinds of learnings
7 that are taking place in a very complex program
8 get reflected in the kinds of actions that are
9 taken early on so that errors aren't propagated
10 and so on with great delay and -- and cost to
11 everyone involved. So if you could just say a
12 few words about how that is moving forward,
13 sort of on a concept basis, with all of your
14 uses of the DSS and how that process of quality
15 metrics and so on are falling into place so
16 that as we discuss going forward we can see how
17 the engines of -- of corrective action and
18 improvement are really working. That's a long
19 introduction, but it was this overhead that
20 really triggered it in our minds.

21 **DR. BLAKE:** Sure.

22 **DR. REIMANN:** -- brief side conversation with
23 Dave and myself here.

24 **DR. BLAKE:** I'd be happy to, Dr. Reimann. I
25 will tell you, and I think the Board members

1 realize, this is an evolving process. When we
2 started our external review process it was
3 different than this Decision Summary Sheet.
4 What we originally had was about a five-page
5 check-off of about 90 different items the
6 external reviewers looked at, and what we found
7 over periods of time were we standardized our
8 process and we didn't focus on the check-off
9 blocks defects basically -- the comments on
10 that went down to fairly minimal. We then
11 embraced the recommendations from your group on
12 where that external quality review ought to go,
13 not so much all those little check-off blocks
14 that we basically optimized our report process,
15 but we went and started looking at how we
16 reviewed on the Decision Summary Sheets. And
17 even though we've been doing this information
18 and correcting based on that, we haven't done a
19 formal lessons learned. And one of the things
20 I released, for instance, to -- where we take
21 those lessons, where we saw problems and we
22 corrected based on that, but we didn't publish
23 here's where our trends were. We simply did a
24 chart like this, and I think what we need to do
25 is a more in-depth lessons learned and how do

1 we continue to improve the process. And the
2 only reason I say that we aren't there yet, it
3 is still evolving, is -- as I pointed out, one
4 thing I did promise your subcommittee was over
5 the next few months to collect that
6 information, provide it to you. But I think
7 you saw an example that I released yesterday
8 and earlier this week on lessons learned on the
9 radiation dose assessment was that comparison
10 on the double-blind study was extremely
11 extensive and in depth. And where I may not be
12 able to quite go to that -- quite that depth on
13 here, it's certainly our goal to do something
14 similar here on -- from lessons learned on our
15 quality review, how do we take those lessons
16 learned and optimize our processes. So I guess
17 my response back to you is we think that's a
18 great idea. It's been evolving and I'm still
19 catching up a little bit from that viewpoint,
20 but I hope to show you within the next two or
21 three months exactly what you asked for as
22 feedback to the subcommittee on quality
23 assurance here and the group. Hopefully that
24 answered where you were going.

25 **VICE ADMIRAL ZIMBLE:** Mr. Beck?

1 **MR. BECK:** I'd just like to clarify that, Paul.
2 Your external reviewer actually does two
3 reviews. This one which you just talked about,
4 not just the expedited, but they -- I
5 understand they still do this extensive check
6 sheet on the full RDAs and double-blind
7 studies.

8 **DR. BLAKE:** He does. I didn't show those
9 statistics because they've basically improved -
10 - for the most part, it's more of a nominal
11 thing where before it was catching more errors
12 initially.

13 **MR. BECK:** But again, you could, as time goes
14 on, collect that information as well.

15 **DR. BLAKE:** Okay, that -- that's
16 straightforward to do. It -- it's not a
17 problem. And from a cost basis on implementing
18 quality, it's not been an overall burden - I
19 mean it -- it's part of the program and one of
20 the things I would take a look at was to say,
21 you know, of the total cost of the program, how
22 much is quality costing us, but I think it's
23 only a -- truly, it's only a few percent, you
24 know, on -- on total costs, and so it's -- it's
25 the right way to go with where we're going. My

1 concern initially was when you came up with
2 these -- some of these recommendations was the
3 delay in the response to the veterans, and
4 that's not been overwhelming yet.

5 **VICE ADMIRAL ZIMBLE:** Right. Thank you again,
6 Dr. Blake.

7 **DR. BLAKE:** Certainly.

8 UPDATE ON VA RADIATION CLAIMS COMPENSATION PROGRAM FOR
9 VETERANS

10 **VICE ADMIRAL ZIMBLE:** All right, Mr. Pamperin,
11 could we hear from the VA?

12 **MR. PAMPERIN:** I can only deal with two
13 buttons. Good morning, ladies and gentlemen --
14 happy to be here and give you an update on
15 what's going on in VA, what's been going on
16 with respect to the -- the recommendations of
17 the VBDR for VA. In this presentation I wish
18 to accept full responsibility and apologize for
19 an error in this slide. In my preparing these
20 slides I, for a totally unexplainable reason,
21 failed to include the recommendations from the
22 last Board, and I will include those as a
23 discussion. Suffice it to say that based upon
24 the recommendations, including the last Board,
25 there have been 29 recommendations to the VA,

1 of which seven relate to claims procedures,
2 nine to quality, seven to communications and
3 six to alternative dose reconstruction. Of
4 those recommendations, and I'll explain at
5 greater depth, unlike DTRA there were three of
6 those recommendations that we did not accept,
7 and I will go into those.

8 On the claims procedures, the -- there was a
9 recommendation for centralization of
10 adjudication. That was accomplished in the
11 Jackson Regional Office. And all radiation
12 claims, to include not only those from ionizing
13 radiation from Hiroshima and Nagasaki and from
14 atomic tests, but those from people who are
15 involved in nuclear activities such as
16 submarine forces with occupational radiation
17 were referred there as well. This has been a
18 really useful thing for us because we had
19 always assumed that we got about 400 radiation
20 cases a year when they were distributed over --
21 over 57 regional offices, and we realize now
22 that in fact we get over 1,000 cases a year of
23 any kind of radiation. And we've built the
24 expertise in Jackson to do that.

25 They asked for a -- the Board asked for a

1 centralized database, and this has been
2 accomplished. Jackson maintains an Excel
3 spreadsheet of all claims and their ultimate
4 outcome in terms of grants and denials.
5 The Board also recommended that we grant
6 service connection on a retroactive basis to
7 the initial claim, and this we did not accept.
8 The concept here is that when a veteran files a
9 claim, if that claim is initially denied for
10 whatever reason -- and many of them were, in
11 the sense that there are -- there have been a
12 significant number of disabilities that have
13 moved from the category of requiring a dose
14 reconstruction to what is referred to as a
15 presumptive disability -- and if they had been
16 on the presumptive list initially, the veteran
17 would have been immediately granted service
18 connection. We are unable to accept that
19 recommendation because that would require
20 legislation. There -- it's a well-established
21 procedure in law that any changes in
22 regulations and any changes in law are always
23 prospective, and only Congress can make such an
24 application retrospective.
25 Ensure that Jackson has adequate resources --

1 again, when the -- the team was established, we
2 provided -- carved out a staff for them.
3 However, there are three of the current
4 recommendations from the last conference relate
5 specifically to the adequate resources at
6 Jackson. When part of Subcommittee 2 visited
7 Jackson, they found that the Jackson Regional
8 Office had disbanded their workgroup and had
9 put the work out into the general work flow.
10 That -- there was a recommendation to ensure
11 that that dedicated staff was re-established.
12 Based on that recommendation, the workgroup was
13 re-established and is currently functioning.
14 In addition to that, one of the recommendations
15 was that the staff get specialized training,
16 and we sent a member of the C&P Service down to
17 Jackson to provide that training.
18 There was also a recommendation that 34 percent
19 of the cases that Jackson received from other
20 regional offices were not in fact radiation
21 cases, or failed to document that there was any
22 evidence of radiation. As a result of that, in
23 June of this year we, on our monthly Service
24 Center Manager call, reminded everybody, and
25 published it in our Service Center Manager

1 minutes, of the requirements for transfer of
2 cases to Jackson and of the specific manual
3 citations that -- about when you would do this.
4 From a quality management perspective, the --
5 there was a request for establishment of an SOP
6 for centralizing claims. And that was
7 accomplished by -- VA has a procedures manual
8 referred to as M-21-1MR, and we placed in the
9 manual the specific guidance for when cases
10 would be transferred to Jackson.
11 The Board also asked for a timetable and status
12 of the QA program at VA, and that was provided
13 by Ms. Edna MacDonald at the presen-- the
14 meeting two meetings ago. But since then we --
15 VA has -- and C&P Service has modified our
16 quality review program further. Our QA program
17 at the time when Ms. MacDonald was giving her
18 briefing, she would reference the fact that we
19 have a quality review program called STAR,
20 Statistical Technical Accuracy Review, and that
21 that program involved the review of
22 approximately 10,000 cases yearly so that at
23 each regional office we would have a
24 statistically valid measure of the quality of
25 that office.

1 In addition to that, we conduct special reviews
2 and we conduct site surveys. A site survey is
3 sort of like an old military command
4 inspection. We show up on Monday with about
5 six people and we stay for the week, looking at
6 processes and procedures. But prior to that we
7 do a -- substantial assessments of statistical
8 data so that when we come in and we have very
9 focused issues about why it is this like that,
10 or why it is that like something else.
11 Since her briefing we have done the following
12 things: We have expanded our quality review
13 program so that we now do twice as many cases.
14 For FY'09 we will review over 21,000 cases to
15 increase the assurance that we know what our
16 quality is.
17 In addition to that, we have instituted what's
18 called a consistency review. And in the
19 consistency review we take particular
20 disabilities -- we're starting with the most
21 frequent -- and we compare individual station
22 distributions of the assignment of evaluations
23 with what the national average is. And when a
24 station falls more than two standard deviations
25 above or below what is normal, we do a focused

1 analysis and study of that station on that
2 particular issue to see if there are reasons
3 why such a variance would be appropriate and,
4 if not, conduct the appropriate training.
5 And most recently we have initiated what we
6 refer to as inter-rater reliability. We have
7 conducted two inter-rater reliability tests so
8 far, one on low backs, which is a common
9 disability among veterans, and the other on
10 Post-Traumatic Stress Disorder where we took a
11 statistically valid sample of all of our rating
12 specialists and decision review officers across
13 the country and gave them a case, the same case
14 that we had determined what the correct answer
15 was, and evaluated that -- that case. What we
16 have found in our inter-rater reliability was
17 that in the low back issue there is a court
18 decision called DeLuca where we have to factor
19 in the consequence of pain and -- and
20 fatiguability and reduced capacity because of
21 pain, and in the vast majority virtually all of
22 the error or disagreement we had among stations
23 focused on the application of DeLuca. It was a
24 -- a good test because, since it wasn't
25 scattered and diffused and it was focused, it

1 enables us to train on that particular issue.
2 On Post-Traumatic Stress Disorder, the -- I
3 must confess, for such a subjective disability,
4 we were surprised at the relatively high degree
5 of consistency among people and that we didn't
6 see any particular problems there. But now we
7 have increased quality assurance. We have our
8 site surveys. We have special reviews. We
9 have inter-rater reliability, and we have
10 consistency as measures of the test.
11 We have also conducted a special quality review
12 of the Jackson Regional Office and provided
13 that to the panel, and will continue to do that
14 -- perhaps not on such a large scale, but at
15 least annually we will take a snapshot of
16 Jackson to make sure that things are still
17 going the way they are.
18 Again, we -- Ms. MacDonald provided a status
19 update on STAR, and we provided adjudication
20 status in December of 2007. Adjudication
21 timeliness in radiation cases does take longer
22 than most cases because of the requirement to
23 go outside the agency for reconstructed doses.
24 However, because of the actions of the House
25 and Senate Veterans' Affairs Committees and the

1 Congress as a whole, we have been very, very
2 fortunate in that until the beginning of FY'08
3 there were across the country about 7,500
4 people who did decisions in claims processing.
5 And with the 2008 budget we received an
6 infusion of 3,000 additional employees. That
7 is a tremendous infusion, particularly when a
8 large number of our people are of my generation
9 who are also leaving. But the -- and it -- it
10 didn't reflect any improvement in quality or
11 timeliness until the last couple of months. On
12 a 12-month rolling cumulative average, it still
13 takes us about 181 days, on average, to do a
14 disability evaluation, unless you're going --
15 recently getting out of the military, going
16 through a program called Benefit Delivery at
17 Discharge. But in the last three months the
18 processing time for claims has dropped about 15
19 days, which is significant because it is
20 trending fairly dramatically lower. And more
21 importantly, the average days pending or how
22 long a case is pending for decision has been
23 dropping as well.

24 There was a request that we provide outcome-
25 based data to NTPR. We have done that in

1 aggregated -- on an aggregated basis. The
2 specific recommendation was to give information
3 to NTPR about the specific claim outcomes of
4 specific veterans, and we did not feel that
5 that was appropriate because of Privacy issues.
6 We did not see how what the eventual outcome in
7 a specific case, how - how that should in any
8 way impact the method of calculation of -- of
9 the disability. The disability calculation or
10 the dose estimate comes first and the -- the
11 claim outcome comes last, so we are very
12 sensitive to providing information about
13 individual veteran-specific information unless
14 it's absolutely necessary. We compromised by
15 giving the - the dose estimates that we
16 received, what that ended up being in terms of
17 grants, without associating it with a
18 particular veteran.

19 We are working on a presumptive and non-
20 presumptive data pull for the VBDR. I hope to
21 have that within the next four to six weeks.
22 It is a little complicated because the
23 diagnostic codes that we use can be used for
24 other things as well, so we have to -- for
25 example, cancers -- some cancers are not -- are

1 -- go under a very generic diagnostic code, so
2 whether or not we can identify -- we can
3 identify who has that -- who has a generic
4 cancer, but we would then have to sort that
5 against DTRA data to see if they were a - a
6 nuclear participant, and then still caveat it
7 because, without actually looking in the file,
8 we don't know if the claim was based upon
9 radiation versus some other service occurrence.
10 The communications, the automatic IRR
11 registration, this is slightly changed from
12 when I prepared these slides. It was pending
13 coordination and last week I received some
14 information from the Veterans' Health
15 Administration that they're not sure that they
16 can do this. Participation in registries is a
17 voluntary act, and the -- the question of
18 whether or not you can, without permission,
19 place somebody on an IRR -- on -- on a registry
20 is one that we're working through right now. I
21 think if that were to happen, we would have to
22 get release from - from the veteran first.
23 Okay, advise veterans that there are no
24 security issues because of the security oath
25 that many veterans took. We have done that by

1 - by making announcements and including that in
2 the letters that are sent to veterans when we
3 receive their claim.

4 Newsletter use, we publish a newsletter; there
5 are a couple of these on this issue. We do
6 publish an ionizing radiation newsletter. It
7 typically occurs annually. There has been a
8 delay in -- in that one was not produced last
9 year, but it is produced by the Veterans'
10 Health Administration and Steve Sloan from VHA
11 is working with the communications subcommittee
12 to get that out.

13 The committee also asked for two newsletters a
14 year, and our position is that we would be
15 happy to do that pending, you know, funding
16 requirements and having sufficient information
17 to include into.

18 And formalize a VBDR role in letters. The VBDR
19 committee did provide us with a suggested
20 letter which -- although I thought it was
21 pretty good, when we sent it across our staff
22 that's responsible for letter-writing, they --
23 they found problems with it. So we did not
24 accept the format that was actually provided,
25 but will provide the VBDR with drafts of

1 additional -- of new letters to be commented on
2 and developed.

3 And at this point I would like to diverge into
4 one of the areas where -- one of the
5 recommendations from the last Board meeting,
6 actually from about three of the Board
7 meetings. And that is regarding a generalized
8 outreach to all atomic veterans. In the past I
9 have been the major person who has had concerns
10 about doing a generalized outreach. And my
11 concerns had really to do with two things. One
12 was the capacity of the organization to deal
13 with a large volume of claims if -- we
14 currently believe there is approximately two
15 and a quarter million (250,000) atomic veterans
16 who are still alive. Our experience with
17 direct mail is that we will get between 15 and
18 17 percent response rate, which would translate
19 into approximately 40,000 claims. And the
20 capacity of the organization to deal with those
21 in the face of unprecedented claims activity
22 that we have been experiencing, and at least as
23 important -- if not more important than the
24 claim activity -- has been my personal concern
25 about building expectations and soliciting

1 claims that will inevitably be denied. In the
2 current environment of those cases that have to
3 go to the NTPR for dose reconstruction, they
4 are split what, 50-50 between skin and
5 prostate?

6 **DR. BLAKE:** Just a little skin and prostate.

7 **MR. PAMPERIN:** Yeah, mostly -- mostly skin and
8 prostate. You know, if you take it from a
9 macro level, it's about 50-50. There's about
10 four or five percent that are other things,
11 macular degeneration and -- or subcapsular
12 macular degeneration and a variety of other
13 conditions, some of which are radiogenic, some
14 of which appear not to be. The concern that I
15 had was that to solicit claims from prostate
16 cancer and then turn around and get a dose
17 estimate that would inevitably result in a
18 denial, because all of the science seems to
19 suggest that if you're exposed to radiation, if
20 it's due to radiation, if you develop prostate
21 cancer, it's typically due to radiation if you
22 develop it between a specific age range that
23 all the atomic veterans now are well, well past
24 that age range. So if they develop prostate
25 cancer now, it's highly unlikely that it would

1 have anything to do with radiation.
2 We've talked this over. Last Thursday I met
3 with General Manner about this issue. I think
4 we have an approach that is sensible and -- and
5 one of the things that we will look to the
6 committee for help with in taking an approach
7 to outreach that is basically three-tiered.
8 One is to seek the assistance of NTPR to
9 identify those particular tests that are, you
10 know, the -- the most aggressive or dirtiest or
11 has the highest fallout, and start with --
12 start with those people. Concurrently, using
13 NTPR information checking with Veterans' Health
14 Administration about veterans who are test
15 participants who may be currently being treated
16 for conditions that are presumptive
17 disabilities, and addressing those people. And
18 then from there, assessing the situation and
19 making further decisions on outreach. And when
20 we do that, one of the things we will be
21 looking to the -- to the Board for is guidance
22 on the language that we don't build
23 unreasonable expectations from veterans who may
24 be suffering from prostate cancer.
25 Alternative methods of dose reconstruction,

1 grant service connection for basal cell cancer
2 regardless of dose. We -- we did not accept
3 that -- that recommendation. We -- we believe
4 that it's fairly clear that of the three kinds
5 of skin cancer that it would be inappropriate
6 to do that.

7 Do not refer non-radiogenic conditions to NTPR.
8 We do get claims from veterans who will have a
9 statement from their local doctor saying that
10 it's possible their particular condition may be
11 due to their exposure to radiation, when in
12 fact there is no scientific evidence to suggest
13 that that particular condition can be affected
14 by radiation. Our law says that when we have
15 medical evidence of an association, we will
16 refer it to NTPR for action and review. This
17 recommendation was that we would not do that.
18 We did not accept it because it's required by
19 law. But what we did say is that if a
20 particular condition -- and I forget which one
21 it is right now, but there's one we had a
22 couple of recently wherein the IREP model,
23 which is how you produce a probability of
24 causation, the particular condition isn't even
25 in the IREP model. You know, it's so certain

1 that that's not related to radiation. But what
2 we've said is that if NTPR will provide us with
3 a letter explaining the scientific basis as to
4 why this is not a radia-- a radiogenic disease
5 and that they're unable to calculate, you know,
6 a dose that would be appropriate since it's not
7 in the IREP model, we can use that evidence to
8 weigh against the other medical evidence in
9 making our decision.

10 We are also pulling the data regarding
11 information on those people who we have
12 granted.

13 And the -- the next one, VA will accept a DTRA
14 letter, that refers to the non-radiogenic
15 diseases. We will accept that letter.

16 And the recom-- another recommendation was that
17 we consider seeking legislation that would
18 enable us to independently not refer radiog--
19 non-- clearly non-radiogenic diseases to NTPR.
20 And we have decided that we will not seek such
21 legislation. We -- we believe that it is not
22 appropriate for us to limit -- Title 38 is a --
23 is unique in that it makes the Secretary of
24 Veterans Affairs not only the administrator of
25 the program but, by statute, an advocate for

1 veterans. And we don't believe it would be
2 appropriate for us to do this.

3 Additionally, although radiation has been
4 around for a long time and I'm assured by, you
5 know, people I well respect that we know an
6 awful lot about this topic, our experience in
7 herbicide Agent Orange and other kinds of
8 disabilities is that over time things that are
9 pretty certain turn out, you know, with
10 additional evidence that there is -- there are
11 other considerations. So we did not accept
12 that one.

13 I believe the only other recommendation that we
14 had is that we develop a standardized operating
15 procedure with respect to operating and
16 interpreting the results of the IREP computer
17 model so that it -- it can -- you know,
18 outsiders can come in and take a look at it and
19 see that we're doing that appropriately. We
20 currently have the physician who used to do
21 this -- Dr. Neil Otchin has retired. The
22 development of this SOP, which isn't on this
23 list, would be a function of the Veterans'
24 Health Administration, and when the new
25 physician is put on board we will ask the FHA

1 to prepare such a -- an SOP.
2 Current issues. Dr. Otchin retired several
3 months ago and there has been a lag in getting
4 a replacement for him. Veterans' Health
5 Administration has been attacking that problem
6 in two manners. This is seeking a physician
7 physically to replace him, but has also been
8 pursuing contract to do these assessments. If
9 anybody has ever been involved in contracting
10 in the federal government, it is something to
11 behold. It takes a very long time. However,
12 I'm -- I'm told that we're expecting to have a
13 contract within another month, and then
14 similarly that we're supposed to be getting a
15 physician in approximately a month.
16 At the last meeting DTRA, NTPR, made an offer
17 because they have health physicians who are
18 capable of doing these dose assessments, who
19 are not involved in the development of
20 scenarios, that are -- that work in different
21 branches of the DoD, and we were offered the
22 opportunity to borrow Dr. Reeves, who is a
23 contractor for DoD, and we were able to use him
24 for about eight weeks. And during that period
25 of time he did 115 dose assessments for us that

1 were signed off by other responsible officials
2 in VA, and his tenure with us was discontinued
3 recently. And again, based upon the meeting we
4 had with General Manner last Thursday, General
5 Manner was gracious enough to allow us to
6 continue to use him for the next month or two
7 until we have a replacement.

8 As a consequence, right now we have about 180
9 cases still pending dose assessments --
10 correction, IREP assessments, that we have the
11 dose assessment from NTPR. If we get -- based
12 upon the performance of Dr. Reeves in the past,
13 it would appear that we will clear our backlog
14 of cases probably by mid-October.

15 And that's my presentation for right now. I
16 would tell you that what is going on in a
17 larger context in VA is an unprecedented claim
18 rate. In the past, for example, in -- and I've
19 said this before -- in 2001 VA did just over
20 500,000 disability ratings. In 2007 we did
21 836,000. Last year we peaked at 70,000 rating
22 decisions in a particular month. In August of
23 this year we did 83,000 decisions. And yet
24 with that level of -- of output, we have been
25 able to drop our over-six-month cases by about

1 a third. But our total pending has dropped by
2 about ten percent. We -- we are projecting
3 that we will end this year with about 860,000
4 cases being decided, and we're projecting that
5 next year we will receive and decide over
6 900,000. So there is a -- the -- the volume of
7 cases is -- has been a real challenge for VA,
8 but the last three months has been improving.
9 And at the same time, we're engaged in a con--
10 the final stages of converting to a new payment
11 system and we're engaged in a major effort with
12 the Department of Defense to facilitate the
13 transition of wounded, ill and injured service
14 persons, whether they are war-wounded or -- or
15 service persons who just developed disease,
16 through a fairly significantly changed DoD
17 disability evaluation system. Each year about
18 -- in this period of war, about 25,000 service
19 persons are referred for what's called the
20 Medical Evaluation Board, the first step in
21 potential separation due to disability. And of
22 that number, on the back end, about 19,000
23 people are actually separated.
24 That particular process inside DoD, as an
25 outsider, is obscure, arcane and lengthy. And

1 in the past a service member would go through
2 that process only to be separated -- from date
3 of injury to date of first VA check was
4 calculated to be about 570 days. Now most of
5 that time you were still on active duty and
6 getting paid, and what it meant was that about
7 180 days you'd be waiting for a check from VA.
8 In our National Capitol Region pilot where we
9 have almost 600 people going through the
10 process, of those who have been separated all
11 but three had their benefits awarded on the day
12 that they were separated. And the three who
13 weren't paid on that day, two of them are
14 incompetent and it's taking about 30 days for
15 us to get a fiduciary together for them, and
16 one of them there was an issue of severance pay
17 and we didn't quite know what we were doing, so
18 it took about 30 days to get that person
19 awarded.

20 We are in the process and likely to be
21 expanding to a number of additional sites as
22 early as the end of this month, and with the
23 expectation that this revised process will
24 occur nationally sometime in the 2009/2010,
25 maybe 2011 at the latest, time frame. It's

1 different in that as soon as a soldier is -- is
2 put into the DES system, we take a claim from
3 him. It's different in that in their other
4 normal caseload, but the examinations are done
5 according to VA protocols. And it's different
6 in that when the physical evaluation board
7 determines that the person is unfit, rather
8 than the physical evaluation board assigning
9 the disability evaluation, VA assigns the
10 evaluation. And with one exception thus far,
11 which was within -- well within the prerogative
12 of DoD, they have accepted our evaluations, and
13 those evaluations tend to be higher than what
14 DoD has done in the past.

15 So that is a huge, huge process that we're
16 engaged in, trying to coordinate 134 military
17 treatment facilities, and so things are -- are
18 very busy in VA right now.

19 **VICE ADMIRAL ZIMBLE:** Thank you very much, Tom.

20 **MR. PAMPERIN:** Yes.

21 **BOARD DISCUSSION**

22 **VICE ADMIRAL ZIMBLE:** I -- I have to tell you
23 that -- that with all those -- those figures,
24 extremely challenging, overwhelming numbers of
25 claims and all of the other projects that you -

1 - that you face, we're very grateful that you
2 still attend to our recommendations. Even
3 though you -- you've rejected some of them, our
4 feelings aren't hurt because subsequent to that
5 we've -- we've worked out -- we've worked out
6 appropriate compromises and -- and I'm -- I'm
7 very grateful for the level of cooperation that
8 we've received and the veterans -- the atomic
9 veterans should also recognize that, despite
10 the pressing demands of a current war and more
11 recent wars, we're still accepting and looking
12 and trying to do better for these veterans that
13 go back to World War II and to the testing
14 beyond that. And so I -- I think you're doing
15 a great job and I appreciate the idea of
16 looking at a pilot program for a specific
17 cohort that are most likely to have radiogenic
18 conditions and -- and make sure we get the word
19 out to them.

20 I also appreciate very much that your letter-
21 writer doesn't care to have other people
22 writing letters for her. We've -- we have one
23 individual on the Board who I would term our
24 official scribe, who has drafted the brochure -
25 - working with others, but has put together the

1 brochure, who's put together some of these
2 sample letters, and we fully understand this
3 arrangement. And I think in the future what we
4 would like to do is review letters and make
5 sure that the letters that come from -- from
6 DTRA and the letters that come from the VA
7 don't have conflicting statements or --
8 statements that are going to lead to confusion
9 for the veteran, and we -- we want to make sure
10 we can have a risk communicator look at those
11 letters and make sure that we have made them as
12 -- as simple as ionizing radiation can be made.
13 So we'll -- we'll work with you with that, if
14 you don't mind.

15 **MR. PAMPERIN:** And I would point out that on
16 the table outside are some brochures that we
17 just recently got that were developed through
18 the Advisory Board and with NTPR. They're --
19 those will be shipped to Jackson this week and
20 will be included in the initial development
21 letter that goes out to all people. It
22 explains the whole process of dose
23 reconstruction and presumptions versus non-
24 presumptions and what's available from the
25 Advisory Board.

1 **VICE ADMIRAL ZIMBLE:** Thank you very much. Dr.
2 Blake, you have a question or comment?

3 **DR. BLAKE:** More a comment. In support of what
4 Mr. Pamperin mentioned on DTRA's NTPR program
5 providing the data on the veterans who received
6 the highest doses within the atomic veteran
7 community, that's basically three cohorts. And
8 what I'd like to do is, I can provide that to
9 the VA within a few weeks, but I'll also
10 provide a summary without the Privacy Act data
11 to the Board so you can see what we're
12 providing. We're -- the highest dose group
13 fell under 100 rem whole body. It's basically
14 three cohorts, and the three cohorts -- and if
15 people would care to comment when I provide it,
16 I'll provide that data with the doses that were
17 in there.

18 One cohort was the group that was involved in
19 Operation CASTLE BRAVO where we exploded a
20 weapon that went larger yield than we expected
21 and the fallout went into a place we didn't
22 expect then, our -- our weather group got
23 significantly high exposures and that group has
24 the highest exposures of the atomic veteran
25 community.

1 Another group that received very high exposures
2 were our forward volunteer observers at some of
3 the tests at Nevada Test Site. And so once
4 again, they actually received some acu-- acute
5 doses by -- from fallout, immediate doses, and
6 we'll provide that information. We know those
7 cohorts.

8 And there was a third group that received
9 significantly high doses and those were the
10 pilots and the aircraft crews that were in our
11 radioactive cloud samplers where we actually
12 flew planes through the clouds to pick up
13 radionuclide data. So once again, we'll
14 provide that data to you.

15 So I would also mention to you that the NTPR
16 program is supporting ongoing radioepidemiology
17 studies of our atomic veterans, and one of our
18 world-renowned members here, Dr. Boice, is
19 actually the principal investigator on a
20 follow-up study of the -- some of the highest
21 exposures in the program, working with VA and
22 other scientists to take a look at that. So
23 we're in the process of recovering some data
24 from the National Academy Science, and then
25 he'll continue looking at that.

1 Why radioepidemiology studies looking at
2 disease associated with radiation continue,
3 even if you've done the study, is you have to,
4 at a certain period of time -- in the previous
5 one I think was -- I believe -- John can
6 correct me, but the data went through what,
7 1986 or -- I forget --

8 **DR. BOICE:** I think mid-19-- early 1990s.

9 **DR. BLAKE:** -- early 1990s, but since then
10 we've obviously -- it's now 2008. We've
11 accumulated a lot more data. It's worth taking
12 another look at it. In fact, Dr. Boice is
13 following -- what I certainly appreciated was
14 he was looking for funding from the National
15 Institutes of Health, not the Department of
16 Defense, and so I certainly was very
17 enthusiastic and supporting if he was bringing
18 in money from other federal agencies to help on
19 that type of study, which is the right thing
20 for our veterans to do and that's part of our
21 mission, too, so we're looking forward to
22 supporting an ongoing study on
23 radioepidemiology.

24 But with regards to that other thing, we will
25 provide that data to you in a summary, with

1 non-Privacy Act material, to the Board members.

2 **VICE ADMIRAL ZIMBLE:** Dr. Boice.

3 **DR. BOICE:** I also wanted to thank Tom for
4 considering the more global outreach to the
5 atomic veterans. That's something I've been
6 most interested in over the years, as you know,
7 and the approach you've suggested in working
8 with General Manner sounds very appropriate to
9 -- to go after those that are more -- are most
10 likely to receive some compensation because
11 they're in the high-dose cohort, and also to
12 make sure there's a realistic expectation so
13 that there is not this unnecessarily con--
14 dition where they would expect something
15 which is not going to happen.

16 I did have a comment, though, too, on -- on
17 this prostate cancer and just what the issues
18 are. And this was recently summarized in the
19 United Nations report that came out last week.
20 Prostate cancer is not established as a
21 radiogenic cancer, and that's really the
22 scientific issue. And so even though you can
23 go through the IREP program and all that and
24 get a probability of causation, the risk
25 coefficient is so very low, you need a really

1 whopping dose to get even a 99 percent, you
2 know, credibility limit. So it's -- it's not -
3 - the issues that you said about latency, yes,
4 that's true. But the -- the real issue, it's
5 just got a really low pos-- possibility that
6 it's caused by radiation, and that's
7 demonstrated in the programs.

8 I did have a question, and I should know this,
9 so it's -- it's about the radiation newsletter.
10 Who receives the radiation newsletter? Is it
11 only the people in the IRR then, the Radiation
12 Registry? Who actually gets it?

13 **MR. PAMPERIN:** It's -- it's people in the IRR,
14 people who ask for it. There are copies of the
15 newsletter that are placed in Regional Offices,
16 Medical Centers, in the waiting rooms and
17 things like that. The -- am I leading to the
18 assumption that if we got a list from NTPR --

19 **DR. BOICE:** Yes.

20 **MR. PAMPERIN:** -- and then went to somebody
21 such as the IRS or somebody to get addresses,
22 that perhaps we could -- we could mail it
23 further. We -- we can -- we can look at that.
24 I mean we can look at that.

25 **VICE ADMIRAL ZIMBLE:** Okay, and Mr. Groves?

1 **MR. GROVES:** Just a follow-up to that. We were
2 very fortunate, we had Steve Sloan join us by
3 telephone yesterday for our subcommittee
4 meeting and this was of course one of the
5 subjects we spent quite a bit of time on. And
6 we feel that there is a good distribution
7 within the system of the newsletter. We did
8 encourage Steve that when they do go out to the
9 Medical Centers that they're put in -- in
10 numerous locations, and especially those
11 locations that you would expect the veterans to
12 visit, which are normally on the lower floors
13 because of the -- the age group. And we would
14 also hope that the brochure -- and that you
15 very much for bringing the -- ones to the
16 meeting -- but those would get distribution as
17 well in the -- in the Medical Centers and the
18 clinics as -- as yet another way to introduce
19 the program and, you know, tell people where
20 they can go to get additional information. So
21 whether we would -- I think a part of the
22 larger question of the outreach and what's
23 appropriate, once we've identified the folks,
24 to send them, the newsletter I think is an
25 excellent tool, as well as the brochure, to

1 kind of start the process with those folks.

2 **MR. PAMPERIN:** Right, I -- the initial printing
3 was 5,000 of those forms, and if you make the
4 assumption that we only get, you know, no more
5 than a thousand a year, there -- there is more
6 than an adequate number to send to the Regional
7 Offices and the Medical Centers, and
8 reproducing it is not expensive so we can get
9 some more.

10 I -- in terms of the, you know, a larger scale
11 accessing NTPR, the issue of distribution, the
12 issue there of course is finding people.

13 Depending upon the -- the level of
14 identification, if -- if Social Security
15 numbers are available, there are a variety of
16 ways of -- of getting addresses. You can get
17 addresses from IRS, but only if it is a public
18 health issue or a research issue. But on the
19 other hand, we utilize a company called Choice
20 Point, which is basically a credit-checking
21 company, that we use to -- when we get returned
22 mail, when people haven't told us where they
23 move, we can look them up and get their most
24 recent address and send it on to them. It is
25 possible. We have done that in the past, not -

1 - I don't know how much of a cost on that, but
2 I know we have given them relatively small
3 files of 1,000, you know, up to 5,000 files, to
4 get addresses. You know, if -- if it was 50 or
5 60,000 files, I don't know how many -- how much
6 that -- so we're going to have to assess that,
7 but we'd be glad to work with NTPR on that.

8 **VICE ADMIRAL ZIMBLE:** Mr. Ritter?

9 **MR. RITTER:** Tom, I just wanted to add to Ken
10 Groves's statement, since the inception of the
11 Ionizing Radiation Review we have forwarded our
12 -- our membership mailing list to -- to your
13 people and they've been kind enough to send --
14 send those out to our folks as well.

15 **VICE ADMIRAL ZIMBLE:** Okay. Dr. Zeman.

16 **DR. ZEMAN:** Tom, you mentioned a multi-tiered
17 approach to outreach, and one of the -- one of
18 the approaches that I -- I believe you
19 mentioned was to try to identify atomic
20 veterans that are currently being treated for
21 presumptive diseases.

22 **MR. PAMPERIN:** Right.

23 **DR. ZEMAN:** Could you explain how you would do
24 that? Would that be just within the VA system
25 or would --

1 **MR. PAMPERIN:** Yes.

2 **DR. ZEMAN:** -- there be something --

3 **MR. PAMPERIN:** No, it -- it --

4 **DR. ZEMAN:** -- broader than that?

5 **MR. PAMPERIN:** -- it would -- it would only be
6 in the VA system. If -- VHA of course uses
7 ICD-9 codes which -- ICD-9, International co--
8 Classification of Diagnostics, is a manual --
9 there's a IDC-10 that the United States doesn't
10 use, but this is a list of 10 or 11,000
11 numbers. It's -- I believe -- it's either four
12 or five-digit numbers with a period and up to
13 three or four numbers after it that is used for
14 a variety of purposes, for classification, but
15 most commonly for billing purposes. And you
16 know, there's -- there always, when you get
17 into such a complex coding system, there's
18 always potential for -- for error in the
19 coding. But what -- what it does do is it
20 perhaps get you to a lower level of granularity
21 where you can target specific disabilities. We
22 would do this, you know, as a -- as an -- a
23 specific outreach to atomic veterans. We do
24 not -- it's a fairly clear standard that a
25 claim for health care is not a claim for

1 benefits. And in fact, we have experience with
2 people who have health care who decline to file
3 claims for benefits. But even on a more
4 practical level, with five and a half million
5 veterans enrolled in veterans' health, with
6 upwards of, you know, 20,000 out-patient and
7 in-patient -- or 20 million out-patient and in-
8 patient visits a year, it would be physically
9 impossible to consider every visit to a VA as a
10 claim. I mean you would have to have a
11 workforce of hundreds of thousands to keep
12 track of something like that, so -- but in a --
13 in a specific group, it would be possible to do
14 this and, you know, we'll -- we're taking a
15 look at how that can be accomplished.

16 **VICE ADMIRAL ZIMBLE:** Thank you very much. Dr.
17 Reimann?

18 **DR. REIMANN:** Tom, thanks for that update on
19 those quality practices increasing the number
20 of cases you're looking at from about 10,000 to
21 21,000, the consistency review, the inter-rater
22 reliability, use of knowns in particular cases
23 to identify areas of training. All of these
24 are very, very positive steps and ones I think
25 are important for us to know about because

1 there's got to be a good linkage between what
2 is proposed for a particular veterans' group
3 and the overall system you use for all
4 veterans. We're -- we're sensitive to that.
5 But you recall in our discussions early on
6 about the -- the consolidation at Jackson, the
7 issue there was that, because of the
8 specialized nature of the -- of the claims,
9 having a pocket of expertise would be a real
10 benefit and -- because errors would more likely
11 occur with a diffuse nature and also they would
12 be less likely to be detected, even in a good
13 quality system, because of how few they are.
14 Now, with concentration, there's more
15 opportunity, but I would note that even with
16 the 21,000 there -- it's still a low rate of
17 looking at particular radiation cases. So it
18 would appear that the 34 percent that you were
19 referring to there in the cases being referred
20 from other VAROs to Jackson would be a very
21 good metric to use in the short run because
22 that really puts a major spotlight on cases
23 related to the community that we're trying to
24 serve here. So that's an example of sort of an
25 ad hoc metric, but I think one that you could

1 really use effectively that might actually be
2 more useful or -- or would be a great adjunct
3 to the other mechanisms that you're using,
4 which are all extremely positive steps, it
5 seems to me. So you -- we might sort of
6 squirrel that in some of our recommendations
7 here or comments or observations because I
8 think that that sort of wraps around everything
9 you've said in a way that would appear to be
10 compatible with what you're trying to do. And
11 the last thing in the world you need at this
12 stage, with coming up to a, you know, 900,000
13 cases to -- to review are additional things of
14 work, so this would be -- appear to be a good
15 integration and maybe something actually that
16 would spill over and help support some of the
17 other kinds of quality efforts that you're
18 obviously putting into effect here with your
19 STAR system.

20 **MR. PAMPERIN:** I -- my -- Curt, just so that I
21 understand, are you saying that you use the
22 metric of improper referrals as a -- a measure
23 of quality, as -- as the percent of improper
24 referrals goes down, that -- or -- I'm not
25 quite --

1 **DR. REIMANN:** Well, tha-- that obviously is
2 probably related or similar to the kinds of
3 problems you were having when you had very few
4 cases per VARO and the delays caused in those
5 office because the specialized knowledge was
6 hard to build up with few cases per year. Now
7 that you -- you still have that problem at the
8 local level because they still have to classify
9 something to send it to Jackson.

10 **MR. PAMPERIN:** Right.

11 **DR. REIMANN:** So if you have some tracking of
12 the number of cases coming to Jackson that
13 shouldn't have, that's a very sensitive
14 indicator of -- of the kinds of training that
15 needs to be done and information that needs to
16 be sent to the individual VAROs, so it's -- it
17 isn't just that -- 34 percent is bad; at this
18 point 34 percent knowing it is good --

19 **MR. PAMPERIN:** Right.

20 **DR. REIMANN:** -- and it means that something
21 that if you track that ought to --

22 **MR. PAMPERIN:** And similar to our low back
23 inter-rater reliability, if there's a common --
24 it would be bad if it was 37 one-percent
25 different reasons, but if --

1 **DR. REIMANN:** Right.

2 **MR. PAMPERIN:** -- if half of them are all the
3 same reason, you can train something like that.

4 **DR. REIMANN:** Right. Just to sort of throw in
5 -- there's a little folklore here that at one
6 point advancing quality practices in Japan,
7 which were very badly needed, some of the
8 Japanese leaders were quoted to say we cherish
9 our defects because they tell us something
10 about the imperfection in our process. And so
11 in a way that's a defect to cherish at this
12 point because you now know what it is and you
13 can drive that down, and that would be a very,
14 very important indicator to keep track of how
15 the other VAROs are doing as -- as an
16 additional kind of -- of feedback to them on
17 how the -- on how things are working. And that
18 -- and that's -- it simply parallels a lot of
19 other things that I would assume you're doing
20 from --

21 **MR. PAMPERIN:** Uh-huh.

22 **DR. REIMANN:** -- what you reported here.

23 **MR. PAMPERIN:** Okay, got it.

24 **VICE ADMIRAL ZIMBLE:** Okay, I -- I -- oops, all
25 right, Dr. Fleming.

1 **DR. FLEMING:** Tom, this is a question about the
2 improved timeliness of the disability
3 evaluations. You had mentioned it was 181
4 days, had been reduced to -- by 15 days, and I
5 assume that that figure is for claims in
6 general --

7 **MR. PAMPERIN:** It's for claims in general, and
8 that's on a month-to-month basis. We -- the
9 way we measure quality is on a 12-month rolling
10 cwm, so when you box yourself into that as
11 opposed to starting over at October 1st of each
12 year, it means that if you've had poor
13 performance, that poor performance stays with
14 you for a long time until you start getting
15 good performance, and then you hit a critical
16 mass and things drop fairly quickly as -- as
17 poor performing months drop off.

18 **DR. FLEMING:** Right. You also mentioned
19 earlier, in response to one of the Board's
20 recommendations, an Excel database was created
21 in Jackson. So I'm wondering if we can get
22 more specific figures, perhaps drawn from that
23 database, about the average time it takes to
24 process an atomic veteran's claim, figuring in
25 of course --

1 **MR. PAMPERIN:** The referral time --

2 **DR. FLEMING:** -- the reduction at NTPR for the
3 -- to 44 days, because this is a figure, while
4 interesting, it seems to me we now have a
5 database that -- from which we could draw much
6 more specific information about atomic vet
7 processing, and that would be helpful for the
8 Board to know.

9 **MR. PAMPERIN:** Okay. I can do that.

10 **VICE ADMIRAL ZIMBLE:** Dr. Boice?

11 **DR. BOICE:** Just a -- another comment on the
12 global outreach. I thought it was very
13 innovative, if not brilliant, to consider going
14 after those atomic vets who are being treated
15 for presumptive diseases, particularly those
16 within the high dose exposure cohorts, but --
17 but those with presumptive diseases. And as --
18 you know, one of the unique things about the VA
19 BIRL system, what -- the Beneficiary
20 Identification --

21 **MR. PAMPERIN:** Records Locator system.

22 **DR. BOICE:** -- Locator system is that it can be
23 accessed on military ID --

24 **MR. PAMPERIN:** Yes.

25 **DR. BOICE:** -- and you don't need to have a

1 Social Security number. And what DTRA has --

2 **VICE ADMIRAL ZIMBLE:** It's the same number.

3 **DR. BOICE:** No --

4 **MR. PAMPERIN:** No, it's not -- not for this
5 period of time.

6 **DR. BOICE:** -- no, and so that was a main --
7 the problem with the DTRA database is that most
8 of the veterans served before 1968, and it was
9 '68 when the ID became the Social Security
10 number, so -- but the atomic veterans in the
11 DTRA database, they all -- practically all have
12 a military ID, so they could be identified --
13 go to the BIRL system and checked using, you
14 know, the ICD-9 codes for what the presumptive
15 diseases were. I think that's -- you know, on
16 a focused group, on a pilot sample, that just
17 seems like that was a very excellent idea for
18 outreach.

19 And a second thing is -- and then a comment,
20 too -- is the Social Security Administration
21 will give a government agency, such as the
22 Department of Defense or Veterans Affairs,
23 Social Security numbers if you make the
24 request.

25 **MR. PAMPERIN:** Yes.

1 **DR. BOICE:** You -- you have to -- you would
2 supply the name and date of birth and say this
3 is an official request, and they would then
4 provide Social Security number, and then, with
5 that, the addresses that they have in the --
6 within their system. So I appreciate that it's
7 -- the process takes a long time and it's not --
8 - it's easy to say but not easy to accomplish,
9 but that is another way to easily get Social
10 Security numbers for those that you don't have,
11 if needed, particularly on that focused group
12 that you were saying. You know, not all
13 250,000 perhaps, but on --

14 **MR. PAMPERIN:** Right.

15 **DR. BOICE:** -- a focused group.

16 **MR. PAMPERIN:** Well, we would have all the
17 Social Security numbers for people who are
18 treated in VHA. And given the age of this
19 particular population, Social Security has the
20 same problems we have in that we have about 80
21 per-- 86 percent, I believe it is, of all of
22 our beneficiaries are on direct deposit. And
23 as long as people are getting their check,
24 there is a significant number who fail to
25 advise you that they've in fact moved. So the

1 -- the value of using somebody like Choice
2 Point is even if you move you still buy a car,
3 or still have something else, so -- and that
4 has an address associated with it, so...

5 **VICE ADMIRAL ZIMBLE:** Right. Thank you very
6 much. And Tom, I -- I won't ask any more
7 questions. We -- I had neglected to give
8 everyone the break -- I know that -- as noted
9 in the agenda because I really wanted to get
10 the two agency reps equal -- equal time and --
11 without the break. But now -- now I know that
12 you're all eager -- we will now take a 15-
13 minute break and then come back for a -- some
14 time with public discussion. Thank you.

15 (Whereupon, a recess was taken from 11:08 a.m.
16 to 11:35 a.m.)

17 **PUBLIC COMMENT SESSION**

18 **VICE ADMIRAL ZIMBLE:** It's now 11:35 and we
19 would like to begin the -- the public session.
20 We're anxious to hear comments and I would like
21 to -- to -- to show you just a few slides.
22 Could we have the next slide, please?
23 This -- this slide shows -- demonstrates what -
24 - what are the responsibilities of an advisory
25 board. Now an advisory board is a board that

1 advises, and our advice is directed to two
2 agencies. It's directed to the -- DTRA,
3 Defense Threat Reduction Agency, specifically
4 to the NTPR within DTRA, the Nuclear Test
5 Personnel Review program; and advice to the --
6 to the Veterans Affairs organization, primarily
7 to the VBA, Veterans Benefit Association -- not
8 association, what is it -- Affairs.

9 **MR. PAMPERIN:** Veterans Affairs.

10 **VICE ADMIRAL ZIMBLE:** Veterans Affairs, yes.
11 And do we -- we're -- we are designated by
12 public law to provide guidance and oversight in
13 dose reconstruction at NTPR, and in the claims
14 compensation program that is at the Veterans
15 Administration. And -- and it -- and also we
16 are charged with -- with commenting and
17 assisting in making recommendations to both
18 organizations in their means of communications
19 to the atomic veterans.

20 Could we have the next slide?

21 Now there are -- is a -- two ways that you can
22 keep updated regarding the activities of the
23 Board. If you go to the web site, vbdr.org,
24 you will find the information that goes way
25 back to the first Board meeting. We -- the

1 summary of the minutes, the full transcript of
2 the minutes, the members of the -- of the Board
3 and their -- their affiliations and their
4 levels of expertise, their professional
5 activities, et cetera, are all on that site, as
6 well as many -- much more information. So if
7 any -- if you have any information or if any of
8 your colleagues need any information regarding
9 the activities of the Veterans' Board on Dose
10 Reconstruction, I urge you to visit that site.
11 Also if you have specific questions regarding
12 Board activities, we have a toll-free line that
13 you can copy down that number -- you probably
14 already have it in your -- in your folders, you
15 can call that line and get some -- get some
16 information.

17 Okay, next slide. Finished -- that's the end
18 of the slides here. There should be one more
19 slide. And there's one slide that says what we
20 cannot do. The one thing that we -- we --
21 maybe you can go back one -- there it is.
22 The one thing we can't do, we don't have the
23 authority nor the capabilities, the resources
24 to review individual dose reconstruction cases
25 for the claimants. We don't -- we do not do

1 those reviews. They're -- that review should
2 be done at the NTPR.

3 We are not an appeals board. We -- we're
4 interested in information if there are
5 problems, and we certainly document it, and if
6 these are problems about which we can make
7 positive, significant recommendations, then
8 we're happy to do so. But we -- we -- we're
9 not the appeals board. There is an appeals
10 process and the VA handles the appeals process.
11 Now we can also direct you to the individuals
12 within the VA who can assist you with -- with a
13 claim, but we -- we can't do that. We don't --
14 we don't have that authority.

15 And we cannot change or revise any of the
16 provisions that are in the law. You have a
17 representative in Congress, you have a senator
18 and -- on the Hill, as well, that -- that you
19 can contact if you would like to see laws
20 changed. There's lots of laws I'd like to see
21 changed.

22 But at any rate, that's the Veteran's Board on
23 Dose Reconstruction, and that's -- that's what
24 we do. And with that in mind, we're very happy
25 to take public testimony. And the first -- I

1 see we have two individuals who would like to
2 speak. The first one is Mr. Ed-- Edward
3 Shaller, and if he could come forward now.

4 **MR. SHALLER:** Do you mind if I sit down?

5 **VICE ADMIRAL ZIMBLE:** By all means.

6 **MR. SHALLER:** Beg your pardon?

7 **VICE ADMIRAL ZIMBLE:** By all means, you may sit
8 down.

9 **MR. SHALLER:** Thank you.

10 **VICE ADMIRAL ZIMBLE:** Absolutely, you betcha.

11 **MR. SHALLER:** All right. All I want to address
12 today is the fact that I never knew about --
13 I'm a nuclear vet by -- I was at the nuclear
14 bomb test, Johnson Island, 1961/'62 called
15 Dominic I and participated as part of Joint
16 Task Force 8 in the Marine Corps helicopter
17 squadron. Didn't know anything about nuclear
18 vets until the other day when I looked in the
19 newspaper, saw an article in the paper about
20 this meeting and I figured I'd come down and
21 find out. Now since I've been here I've
22 learned a lot. I learned that people have been
23 receiving benefits for nuclear mishaps or
24 whatever since 1970s, that's what I was told.
25 I never heard about it. I even -- I've been in

1 and out of VA facilities through my life just,
2 you know, being a -- having a regular veteran's
3 card, and I've even asked about it on occasion,
4 if there's any situations for veterans that
5 were at nuclear tests, and they said -- I never
6 got any answer about it. And -- and since I've
7 been here today I've heard people explain that
8 it's like -- it's hard to find a person's
9 Social Security number through their military -
10 - military active duty number -- serial number,
11 and I don't think that's true because I know
12 that when I was -- get my tax form, you know,
13 tells you how much you're going to get, how
14 much your tax history -- how much you earned in
15 this year and that year, I noticed all the
16 years that I served in the Marine Corps were
17 all listed there under the tax -- you know, to
18 have the money that -- from that year and --
19 when I was on active duty and -- and I was only
20 using the military number at the time, so
21 obviously there's some connection between a
22 military number and a Social Security number.
23 I mean logic tells me there is, because
24 otherwise Social Security system wouldn't have
25 known about it.

1 But anyway, I also want to make a point that I
2 think everybody that was involved in the
3 nuclear tests -- I've met a few through the
4 years because of my experience -- that they
5 didn't volunteer or ask to be there, government
6 required them to be there. There was
7 situations where I was at Johnson Island and I
8 saw the nuclear bomb test for the first time
9 and -- kind of scary, young man, facing away
10 from the bomb, dark goggles on, eyes closed,
11 detonation -- I could see all of my fingers
12 through the lens, the bones in my fingers.
13 After that, another test day comes up, a few of
14 us on the ship decided that we didn't want to
15 be there, we didn't want to see the next test,
16 the detonation, flash or whatever you call it,
17 so we stayed below. Regular military personnel
18 came down, sergeants, what-not, ordering us up
19 on deck to experience the nuclear blast. We
20 told them we didn't -- we didn't want to go up
21 on deck to -- to see the blast because we'd
22 already seen it and we didn't want to
23 necessarily be exposed to radiation any more
24 than we already had been. But direct orders
25 said get up on deck.

1 All right. Sit down and I think well, this is
2 like Russian roulette now, we're talking about
3 all this nuclear vets and how much energy --
4 how much benefits they should receive for being
5 exposed, what not. I say if you line a bunch
6 of men up in a line and they all experience the
7 same thing, they all get the same dose, the
8 same -- see the same tests, some of them get
9 diseases, some don't. Well, I mean don't they
10 all deserve the same benefit in the end? I
11 mean some -- some of them, you know, maybe
12 aren't even alive to get it anymore. It was 47
13 years ago that I experienced nuclear energy and
14 I'm -- this is the first time I've ever got a
15 chance to sit around and talk about it. That's
16 my point.

17 **VICE ADMIRAL ZIMBLE:** Well, I -- I appreciate
18 your -- your comments and it -- it -- you have
19 underscored one of the issues about which the
20 Board has had great concern, and that's being
21 able to get the word out to the atomic
22 veterans. And we have -- we are working -- you
23 know, the number of atomic veterans for all
24 those tests, and in -- and in addition, the
25 occupied -- the occupying forces in Hiroshima

1 and Nagasaki and the prisoners of war that were
2 in Japan at the time, the -- the people that
3 are exposed to atmospheric testing, both at the
4 Nevada Test Site and in the Pacific, amount to
5 close to half a million people. And to -- and
6 to get the word out to those people after a
7 long period of time is not easy, but -- but
8 we're -- we're -- we are trying to find
9 methodologies to get the word out. I'm glad
10 you got it. We -- we've gone to now -- we've
11 gone to -- this is the --

12 **MR. SHALLER:** If I could suggest, sir -- if I
13 could suggest, I think it would be very easy to
14 locate people. It was a military test. It was
15 documented. There's a document from the test.

16 **VICE ADMIRAL ZIMBLE:** Yes. Oh, yeah, we have -
17 - we have many, many, many records.

18 **MR. SHALLER:** And I think everybody's name was
19 on the -- in that Marine Corps squadron that
20 day, or aboard that USS Iwo Jima --

21 **VICE ADMIRAL ZIMBLE:** Right.

22 **MR. SHALLER:** -- or the scientists and
23 everybody else that was there, for the six or
24 seven tests we saw. I believe it wouldn't --
25 you know, I don't see how it could be that

1 difficult to -- to go and look at the records
2 and -- they don't have any trouble telling me
3 when I'm \$5 short on income tax.

4 **VICE ADMIRAL ZIMBLE:** No, they sure don't.
5 Unfortunately, the income tax people didn't
6 know about your experiences in the war.

7 **MR. SHALLER:** No, but the IRS did.

8 **VICE ADMIRAL ZIMBLE:** At any rate, we have --
9 one of the members here is -- Mr. Ritter, Mr.
10 R. J. Ritter -- and on the telephone, by the
11 way -- hello, Ed, I'm glad to hear that you're
12 -- you're -- you're able to listen in on our
13 conversations today -- we have both Colonel Ed
14 Taylor and R. J. Ritter here, and R, J. is the
15 -- is the president of the NAAV, National
16 Association of Atomic Veterans, and he's very
17 interested in making outreach to all atomic
18 veterans. R. J., you going to make -- any
19 comments to make to Mr. Shaffer (sic)?

20 **MR. RITTER:** Yeah, I spoke to the gentleman
21 earlier on, just bef-- before we got officially
22 started this morning, and gave him some
23 information. I'm pretty well familiar with the
24 tests that he was involved in and I will
25 communicate, either later today or via some

1 other method, before -- before the next week.

2 **VICE ADMIRAL ZIMBLE:** Okay. You have any other
3 comments, Mr. Shaller?

4 **MR. SHALLER:** I have many, but I think I'd
5 better shut up.

6 **VICE ADMIRAL ZIMBLE:** Okay. If you have a
7 condition which you feel may be related to your
8 radiation, you ought to at least discuss that
9 with somebody at a VA hospital. And if you're
10 perfectly healthy, then I congratulate you.
11 Also --

12 **MR. SHALLER:** So you get -- you don't get any
13 kind of prize for being healthy.

14 **VICE ADMIRAL ZIMBLE:** No prize for being
15 healthy other than your health, which I think
16 is pretty significant. No, one thing you can
17 do, though, is -- is go to the -- your local VA
18 hospital and get yourself registered in the
19 IRR, which is the Ionizing Radiation Registry.
20 That will give you a -- the privileges of a
21 physical examination and some opportunity to be
22 evaluated, and I think that's -- that's
23 certainly worth doing, and you'll also be sure
24 to be a recipient of the IRR newsletter, and
25 hopefully we can, through you, get to

1 communicate with some other atomic veterans as
2 well.

3 Any other comments from the Board? Ah, yes,
4 Dr. Lathrop.

5 **DR. LATHROP:** Yes, I want to tell you I
6 appreciate your comments, and one comment you
7 made caught my ear and it was a -- a very
8 astute one, that gee, maybe that everybody who
9 was exposed to the tests -- I mean they're all
10 -- it's all a probability thing, but so they
11 were all exposed to a particular risk. What I
12 want to emphasize to you is this Board doesn't
13 make laws, we're not congress people -- thank
14 God -- but we're executing public laws and
15 somebody some years ago made what I view a very
16 -- as a very key decision about the ethics of
17 all this, and that public law reads that if you
18 have a medical condition that has a 50 percent
19 chance or greater of having been caused by your
20 military service, then you can be considered
21 for compensation.

22 Now we didn't do that. We're sitting here
23 trying to figure out the best way to serve the
24 veteran under that law. But I want to
25 emphasize that we didn't make any of those

1 laws. We're just trying to figure out how best
2 to apply these laws, and the particular point I
3 wanted to respond to you about 'cause you
4 brought up the issue is we're figuring out the
5 best way to apply the law that says you get
6 compensation if, and only if, we can crank the
7 numbers and figure out that you had a 50
8 percent chance or greater of getting the
9 condition that you have, which we all regret,
10 due to your military service radiation
11 exposure.

12 **MR. SHALLER:** I forgot my point -- oh -- oh --
13 I forgot. I had a good point and I just forgot
14 it. It was about -- it was about mili--

15 **VICE ADMIRAL ZIMBLE:** I -- I get some of --

16 **MR. SHALLER:** -- VA.

17 **VICE ADMIRAL ZIMBLE:** -- those every day.

18 **MR. SHALLER:** A senior moment?

19 **VICE ADMIRAL ZIMBLE:** Yeah, you bet.

20 **MR. SHALLER:** Maybe it's a nuclear moment.

21 **VICE ADMIRAL ZIMBLE:** When it comes back we'll
22 let you come back up and -- and -- and provide
23 that. We have -- by the way, I -- since we've
24 -- talking about Congress, I would let you know
25 that at the break I -- I met Ms. Anne Irby, who

1 is a caseworker for Senator Benjamin Cardin who
2 is a senator in -- in Maryland, and we're
3 delighted to have -- oops -- oh, there she is -
4 - and she -- her job -- I think she said -- she
5 said it was a long time, it was over a decade
6 that she's been doing this and she's been
7 specifically looking at veteran issues for the
8 good senator, and has been doing it for some
9 time. And -- and she might take back to the
10 senator the fact that -- that you'd like to see
11 some modifications of the law. That could
12 happen. Okay.

13 Now we have one -- one other speaker, Mr. Ken -
14 - Kenneth Desmar-- Desmarais?

15 **MR. DESMARAIS:** Yeah.

16 **VICE ADMIRAL ZIMBLE:** Ah, good aftern-- is it
17 afternoon yet? No, good morning.

18 **MR. DESMARAIS:** Good morning to you and to
19 everybody else in the room. My name is Ken
20 Desmarais. I'm the -- as I explained to one of
21 the representatives on the telephone the other
22 day, I'm the -- I'm the kid brother who on a
23 very warm summer day, I think it was in 1943,
24 proudly -- I was 11, going on 12 -- walked my
25 older brother to a bus stop in my hometown of

1 Lowell, Massachusetts and he had the big duffle
2 bag and he was headed for Fort Sill, Oklahoma.
3 And to me, Oklahoma was like 10,000 miles from
4 anywhere, and I was so proud of him when he got
5 on the bus and I waved goodbye to Jim and --
6 and I had tears in my eyes. I didn't see Jim
7 until about January or February of 19-- I think
8 it was 1946, after the War ended. He was with
9 the 41st Division. Certainly not the same Jim
10 that I waved goodbye to and, unlike many
11 veterans, he had a difficult time getting back
12 into the civilian groove, as many did. Never
13 talked about his experiences, as did my other
14 four members of my family. They were just
15 reticent and that's -- many veterans, and I'm a
16 veteran of the Korean Conflict, but I'm cur-- I
17 was curious over the years because he passed
18 away at the age of 49 of cancer, and all we
19 knew that, with the 41st Division, he was in
20 either Nagasaki or Hiroshima, not quite sure.
21 I recall taking to school, in the fall of that
22 1946, pictures that he had sent, those little
23 Brownie 127s, standing with other buddies and -
24 - and showing them to the teachers and we
25 talked about it and that sort of thing.

1 I fast forward because all these years later
2 I've learned, as other members of my family
3 did, that Jim was involved in something -- as a
4 volunteer, I assume, and -- and this is where
5 it gets fuzzy because it was always shrouded in
6 secrecy and our Congressperson tried to find
7 out about it and all he would say, it's
8 security and all that. I believe it was an
9 advance group of people went in with nurses and
10 maybe some people here can verify that. So
11 over the years we've tried to find out what --
12 just what did go on, not because we wanted
13 medals or compensation, just family curiosity.
14 Eventually we just let it die. His son, my
15 nephew, who I happened to be with this past
16 weekend, is now in his -- heavens, he has
17 grandchildren, he's early 60s and I'm a
18 spirited 76 and I spent a career in
19 broadcasting and I still have a program on
20 Friday nights which appeals to a lot of the
21 people of World War II that are left, and
22 Korean -- I do Big Band-era music and that sort
23 of thing. So up until that ad in the paper,
24 that announcement, I, over the years, said has
25 anybody ever looked into a possible link

1 between what my brother passed away from and --
2 and other veterans, especially those of the
3 41st, the Sunset Division, which I pulled up
4 some information on. And lo and behold, on the
5 eve of a commemorative -- at the memorial
6 service for a member of my family, I'm looking
7 through the *Sun*, as I do every morning, and
8 bingo, there's this.

9 So this is my purpose of being here, primarily
10 to say I'm grateful that the wheels are
11 turning, albeit slowly, but at least there are
12 people like you who have answered a question
13 that I have posed for so many, many years is
14 does anybody care? And I'm sure Jim and others
15 didn't go in there with having somebody say
16 well, you know, this is what could happen to
17 you. I'm sure he did like everybody else, as I
18 did. I never questioned an officer, I just did
19 things, you know. And so I'm glad. I'm just -
20 - this is a compliment to all of you -- that
21 efforts are being made to find out and perhaps
22 those -- Jim has passed on, as have other --
23 all other four members of my family, 8th Air
24 Force, 41st, 28th and I've read up on that
25 because I'm a historian of sorts. So I commend

1 you. I thank you. As I noted, the wheels do
2 turn slowly. The only time they've turned
3 quickly, as I recall in my almost four years in
4 the Air force, was a break at lunch for the
5 mess hall. That was a rush. But other than
6 that, everything just moved in sway.

7 So thank you, and I hope -- not for Jim, my
8 nephew, Jim, Jr., or my brother or my personal
9 family, just because I'm glad that hopefully
10 someone may benefit from undergoing what they
11 did.

12 And those are my comments and I appreciate what
13 this gentleman went through, and any other
14 veterans who are in the room. Thank you for
15 your time.

16 **VICE ADMIRAL ZIMBLE:** Well, thank -- thank you
17 very much. We -- for your gracious and
18 thoughtful comments. I would just say that,
19 you know, we're dealing with a very specific
20 population, a population of individuals in the
21 -- in the military who served during -- at a
22 test site for -- an atmospheric test site, both
23 at Nevada or in the Pacific, or who were part
24 of occupational forces in Japan during a
25 specific time period, '46 to '47, and those who

1 were prisoners of war in the vicinity of either
2 Hiroshima or Nagasaki. It -- it's a -- it's a
3 -- it's a very well-defined group of
4 individuals, and the NTPR has the capability of
5 verifying those individuals that did serve
6 there. So if you have some question about
7 where your brother was and -- and the potential
8 that he might have been part of the occupying
9 forces, you could get together with the NTPR,
10 with -- with Dr. Blake, we'll get his -- his
11 Social Security number or serial number or
12 whatever information you have, and we can
13 verify whether or not he did participate. Does
14 he have -- did he have a surviving spouse?

15 **MR. DESMARAIS:** His wife passed on just within
16 the last year actually, and so we -- and as I
17 said, the -- it wasn't an issue in the family
18 as much -- they tried and --

19 **VICE ADMIRAL ZIMBLE:** Right.

20 **MR. DESMARAIS:** We primarily want to find out
21 just what he did and --

22 **VICE ADMIRAL ZIMBLE:** There was a --

23 **MR. DESMARAIS:** The compensation factor, quite
24 truthfully, when I mentioned it to Jimmy, Jr.
25 over the weekend, he said Dad -- Uncle Ken,

1 that's so long ago and they -- they've just
2 accepted it and moved on with their lives, as
3 obviously I have.

4 **VICE ADMIRAL ZIMBLE:** We may be able to -- NTPR
5 may be able to answer your question for you.
6 The other thing is that -- that all of the
7 participants were -- were instructed that this
8 was -- this was a highly secret matter and --
9 and so there was a great deal of security, and
10 we've just recently sent a brochure, I believe,
11 that said you have been relieved of the
12 responsibility of keeping this a secret
13 anymore, and that -- I can't remember what year
14 that was -- '96, since 1996 that -- that -- the
15 -- the security issue has been dis-- dismissed,
16 so I appreciate your bringing that up.

17 Did you mention that you were a broadcaster?

18 **MR. DESMARAIS:** Yes, I've spent all of my life
19 in broadcasting.

20 **VICE ADMIRAL ZIMBLE:** Okay, so you're still
21 doing it.

22 **MR. DESMARAIS:** Friday nights, but -- I was
23 full time and then six years ago when I retired
24 --

25 **VICE ADMIRAL ZIMBLE:** Okay.

1 **MR. DESMARAIS:** -- probably at the request --

2 **VICE ADMIRAL ZIMBLE:** You know, I --

3 **MR. DESMARAIS:** -- my listeners I was asked to
4 host a program on National Public Radio here in
5 -- in Baltimore on Friday nights and I --

6 **VICE ADMIRAL ZIMBLE:** Okay.

7 **MR. DESMARAIS:** I appeal to the Glenn Millers
8 and the Frank Sinatras and the Tony Bennett
9 crowd, no Whos or --

10 **VICE ADMIRAL ZIMBLE:** Should you decide to do
11 something again --

12 **MR. DESMARAIS:** Yes.

13 **VICE ADMIRAL ZIMBLE:** -- you might find the
14 opportu-- if you just listen to our previous
15 testimony from Mr. Shaller who says he never
16 heard anything about this program for so long
17 until just recently --

18 **MR. DESMARAIS:** Yeah, precisely.

19 **VICE ADMIRAL ZIMBLE:** -- how many more of those
20 400-and-some-odd-thousand have not heard --

21 **MR. DESMARAIS:** Well, there are ways to do it -
22 - honestly, if somebody would have picked my
23 brain in a blue sky session, there's -- there's
24 ways. I've been in media all of my life.

25 **VICE ADMIRAL ZIMBLE:** Well, if you ever want to

1 mention what we do on -- on the -- on the
2 airwaves, please feel free to do --

3 **MR. DESMARAIS:** I will do it probably either
4 this Friday or -- or next Friday, I'm going to
5 ask if anybody served at the -- with the --
6 with the 41st, to touch base with me.

7 **VICE ADMIRAL ZIMBLE:** You just might want to
8 talk to our PA officer, who happens to be here.
9 This young lady -- this young lady right here -
10 -

11 **MR. DESMARAIS:** Okay.

12 **VICE ADMIRAL ZIMBLE:** -- is Public Affairs from
13 DTRA, and we're always looking for ways to make
14 an outreach to all the --

15 **MR. DESMARAIS:** I'll do --

16 **VICE ADMIRAL ZIMBLE:** -- atomic veterans.

17 **MR. DESMARAIS:** -- anything I can. This'll be
18 --

19 **VICE ADMIRAL ZIMBLE:** Okay, thank -- thank you
20 --

21 **MR. DESMARAIS:** -- an honor for me to do so.

22 **VICE ADMIRAL ZIMBLE:** Very good. Dr. Fleming,
23 you have a comment?

24 **DR. FLEMING:** Yes, I'd just like to point out
25 to you that on this brochure as well, on the

1 very back, is the question: Is there any other
2 way for me to seek compensation? And I know
3 that you've -- you've shared with the -- with
4 the Board here that you're not sharing your
5 information because you're interested in
6 compensation, but there's another piece of
7 legislation, it's called RECA, and it does
8 apply to the children of the individuals if
9 they are shown to be service-connected, and to
10 their children. So when -- when Admiral
11 Zimble asked you about a surviving spouse, I
12 think he was thinking primarily of veterans'
13 benefits. But this is another program that
14 extends beyond a surviving spouse to any
15 surviving children, and if they have died, then
16 any surviving grandchildren. So I would
17 recommend that you send -- give this
18 information to your nephew, and then that's the
19 route to which you can find out.

20 **VICE ADMIRAL ZIMBLE:** And Ed, you have another
21 -- you remembered?

22 **MR. SHALLER:** Yeah, I remember what I forgot --
23 my senior moment. There was film badges at the
24 test. Okay? Two of them. And I just want to
25 know if the records were kept of the

1 individuals that were at the tests and of how
2 many rads they received and what-not. And I
3 also want to know -- when I got out I think I
4 had something wrong with me 'cause I -- I
5 remember I was at the El Toro -- discharged
6 from El Toro Marine Base and they wanted to
7 keep me around for a couple of weeks or
8 something about something -- I can't remember
9 what it is, but I signed a waiver because I
10 just wanted to get -- get out of the service,
11 you know. I had enough and I was very anxious
12 to continue on with my civilian life. So I
13 remember that I had to sign a waiver. I was
14 just wondering if there'd be any record of that
15 with the VA, too, as well. If we started
16 scratching around, will we find anything or --
17 I mean nobody's mentioned anything yet in all
18 these, you know, years I've been associated
19 with VA, so I was just curious. I mean I've
20 got a feeling if I go in there and I'm going to
21 say I want to get on the IRR program and blah,
22 blah, blah, they're going to look at me like
23 I'm nuts and somebody's just going to wa-- you
24 know, say forget it, see ya later, we don't
25 have any record of that or we don't know

1 anything about it. That's what I think would
2 probably happen.

3 **VICE ADMIRAL ZIMBLE:** Well, it may or may not.
4 If it does, you need to talk to somebody else
5 at that VA hospital and -- and I -- I would
6 tell you that -- that the people in the VA at -
7 - that -- that set the policies want very much
8 for you to get those benefits which you have
9 earned. And so I -- I would pursue it. I
10 wouldn't -- I wouldn't be too cavalier about
11 it.

12 As far as records of film badges, my short
13 answer would be yes, they have them. But I
14 have Dr. Blake over here who's going to give
15 you a far longer answer to that question.

16 **DR. BLAKE:** Our -- our records are very good in
17 the Department of Defense from the viewpoint of
18 historically we -- we kept very good records
19 back then. We probably can find out that
20 answer for you within a few minutes.

21 Lieutenant Commander Sanders is right behind
22 you, can call back to our staff and just
23 inquire into the database. But we've actually
24 kept copies of the film, too, and they're
25 centrally archived out at the Nevada Test Site

1 so we could actually go back to the dosimeter,
2 not -- some -- some film badge results were
3 lost. I mean it was a military action out in
4 Pacific Proving Grounds where you were and
5 getting stuff passed back occasionally things
6 were lost, but for the most part our databases
7 are -- are very good, and we're happy to look
8 that up for you.

9 And the same thing, Mr. Desmarais, we're happy
10 to look up your brother's military records if
11 you want to speak to Lieutenant Commander
12 Sanders back there. We'll get the information.
13 We're happy to follow up. We'll pull -- we'll
14 pull the records from your brother in the
15 national personal records center, his military
16 records, and we can -- if we get a -- we have
17 to get a Privacy Act release statement from
18 you, but we can then -- or his -- his wife, if
19 that's the case, but we can still provide those
20 to you just as long as we follow the law.
21 So in both cases, we're happy to do that.
22 That's what we do and -- and we can get you
23 fairly quick responses for both of you.

24 **VICE ADMIRAL ZIMBLE:** Yes, General Manner.

25 **BRIGADIER GENERAL MANNER:** As possibility of

1 compensation for people who are just
2 participated and, as you heard, it's not,
3 regretfully, permitted under the law. However,
4 something that you may want to engage your
5 representative or senator on is that there was
6 a -- a bill that was introduced to the 109th
7 Congress that -- as you know, there are various
8 service medals for personnel that have served
9 overseas in various conflicts or times of
10 service, and there was a bill introduced to the
11 109th Congress by Representative Filner.
12 Regretfully, it was not approved by the
13 Congress. However, you may want to talk to the
14 representative here that it's a medal that'll
15 be proposed to be awarded to people who
16 participated or observed the nuclear testing.
17 And that may be something -- although very
18 small, it is a public recognition for those
19 folks that did that. Granted, it's not
20 something in compensation in your pocket. For
21 some people it will mean nothing. For other
22 people it will be a small -- it's a -- an
23 expression of appreciation. But I just mention
24 that in passing.

25 **VICE ADMIRAL ZIMBLE:** Mr. Ritter.

1 **MR. RITTER:** I'd just like to add to Dr.
2 Blake's comments, and that is if you're going
3 to go down to the VA, which I suggest, to -- to
4 get on the Ionizing Radiation Registry and have
5 your exam, it's a no-cost situation, the DTRA
6 people -- given the fact that you supply them
7 your service number, the ship you were on, that
8 sort of thing -- will issue an official letter
9 of participation. You can then take that
10 letter, or a copy of that letter, to the VA and
11 that will prove to the VA people that you speak
12 to that you were there and you participated in
13 those events, and that will get your -- your --
14 it will get you into the system. And should
15 you develop any anomalies beyond that point,
16 then they'll know who you are.

17 **MR. SHALLER:** (Off microphone) (Unintelligible)

18 **MR. RITTER:** Right, but unfortunately your 214
19 didn't say anything about your participation in
20 those tests because, as -- as the Admiral said,
21 it was more or less classified. But having a -
22 - an official letter from the Defense Threat
23 Reduction Agency saying that you were there
24 carries a lot of weight when you walk through
25 the door and talk to the VA service officer.

1 **MR. SHALLER:** Where do you get that letter?

2 **DR. BLAKE:** Lieutenant Commander Sanders behind
3 you will take the information and then we'll --
4 we'll provide it to you --

5 **MR. SHALLER:** Very good. All right. Thank
6 you.

7 **MR. RITTER:** Thank you, Admiral.

8 **VICE ADMIRAL ZIMBLE:** Okay. Seeing no further
9 questions or comments from the Board, and
10 recognizing the hour -- oops, I'm sorry. Dr.
11 McCurdy.

12 **DR. MCCURDY:** I -- I just wanted to know how
13 you're handling -- whether it's a public
14 comment or not -- what was in our packet we --
15 with respect to Mr. Thomas Cafer-- Caferlo* and
16 what -- what's -- what the disposition will be
17 on this.

18 **VICE ADMIRAL ZIMBLE:** Okay. Let's -- let me
19 table that until after lunch and we'll -- we'll
20 get back to you. And I think, looking at the
21 hour and looking at -- at the hungry faces that
22 surround me, I think it's time for us to break
23 for lunch. We will reconvene at 1330.

24 (Whereupon, a recess was taken from 12:08 p.m.
25 to 1:34 p.m.)

1 **VICE ADMIRAL ZIMBLE:** It's past 1:30 so I
2 believe it's time that we begin, and we'll --
3 we'll take our subcommittee briefs -- oh, no,
4 wait. We're not ready to start yet. Where --
5 where's --

6 John -- John's on the phone?

7 **UNIDENTIFIED:** (Off microphone)

8 (Unintelligible)

9 **VICE ADMIRAL ZIMBLE:** No, I'm looking for John
10 -- John Lathrop. Okay. Well, let's take
11 another five -- let's say another five minutes
12 till John comes 'cause I really would like John
13 to begin the discussion by talking -- before we
14 get the subcommittee reports I would like him
15 to briefly go over the gap analysis and talk to
16 -- to the gaps that we believe still remain,
17 get his perceptions of that, then we'll listen
18 to the reports from the -- from the four
19 subcommittees. And the four subcommittees, of
20 course, have recommendations for how to
21 proceed, and they also have identified or
22 addressed those gaps, so I really would like to
23 start with -- with John Lathrop. We'll give
24 him five more minutes.

25 (Pause)

1 I -- I have mentioned to the group that it
2 would be best to start -- I would -- I must
3 tell you -- I'm sure you all know, but for the
4 record, John Lathrop has done truly Herculean
5 work and put in a great many hours and a great
6 deal of thought in putting together where we
7 are, what we've done, where we still need to go
8 in various areas, and basically looked at this
9 gap that still remains.

10 **UNIDENTIFIED:** And how.

11 **VICE ADMIRAL ZIMBLE:** And how the Board should
12 address that. And so with -- without any
13 further ado, I would turn the meeting over to
14 our scribe, Dr. John Lathrop, for his comments.

15 **DR. LATHROP:** Thank you. One thing to keep in
16 mind is I'm a decision analyst, and one of the
17 things decision analysts are supposed to do is
18 analyze a decision and do the divide and
19 conquer about what goes on and not make
20 recommendations. So if you hear something I
21 say and it sounds like a recommendation, it
22 always should be preceded by -- and I will
23 forget to say -- in my humble opinion. Okay.
24 And you know. So there you go.
25 To go through this, just to give you a

1 foreshadowing, this will be a brief little talk
2 about what we've done, what we're -- perceive
3 as things that need to be carried forward, and
4 considerations for how best to do that.
5 Started out with what we call the gap analysis
6 that we did earlier in the calendar year. But
7 you know, gap has a little bit of negative
8 connotation so I changed it over to needs for
9 continuing functions. And that's just --
10 again, to keep things very neutral here, this
11 is just a brief little overview of where we've
12 been, what we've done -- the Board, working
13 with the two agencies -- and what remains to be
14 done.

15 To begin with, let's re-- let's remind
16 ourselves about sort of our key
17 accomplishments. You know, people get up here
18 and they have many slides and there was 17
19 recommendations and we did this with 16 of them
20 -- I don't know, I think sometimes we lose the
21 forest for the trees, so let me just briefly
22 say let's look at the key goals of the Board
23 and what we've done.

24 Key goal of the Board: Look at the NTPR RDA
25 and expedited RDA processes and audit it and

1 recommend some improvements. And Subcommittee
2 1 has done a marvelous job at developing a
3 relationship with NTPR and auditing their RDAs
4 and their process and developing suggestions --
5 the Board as a whole also has developed
6 suggestions -- for expediting the process. And
7 as Dr. Blake has pointed out, that's done very
8 good things for the veteran in terms of backlog
9 and through-put and effort per claim and
10 consistency.

11 Equivalently, on the VA side, some auditing and
12 looking at the process and recommended they
13 centralized claims.

14 On the quality assurance end, worked -- worked
15 with NTPR and they've done a Herculean job, to
16 use that word again. Dr. Blake mentioned the
17 1,400 pages, and looking at that and seeing
18 what might be most effective and most
19 efficient, recommending and outline Decision
20 Summary Sheets as a summary way to do that, and
21 Dr. Blake is moving forward on Decision Summary
22 Sheets for both RDAs and the expedited --
23 expedited process.

24 Communication and outreach, press releases,
25 media links, the brochure we now have, working

1 with the IRR newsletter -- all those are quite
2 a bit of accomplishments and they certainly
3 have accomplished or gone a great deal toward
4 accomplishing the missions of the Board.
5 Remaining challenges and tasks, I've listed
6 just a couple here. There's a whole bunch of
7 them, but again, it's sort of a long list.
8 Let's look at the two -- what, in my opinion,
9 are the two most important ones, and that is
10 continue to work with NTPR and Dr. Blake on the
11 -- on the double-blind tests and what those
12 mean for improving the -- the SOPs, identifying
13 shortfalls and how best to fix them, and it's
14 all a let's go around and do it another time,
15 another time. The other thing is the proactive
16 outreach we've been talking about and how best
17 to do that. And Tom Pamperin has laid out a
18 very interesting strategy which we'd love to
19 work with him on.

20 At the more detailed level, the general
21 continuing functions that need to be carried
22 forward are general monitoring and oversight of
23 the QA and the process. Dr. Blake mentioned a
24 -- a "lessons learned," the need for -- for
25 expertise, support. Looking over the

1 interactions between NTPR and VA, monitoring
2 the communication and outreach, advising in the
3 development of the Decision Summary Sheets and
4 the further work on Standard Operating
5 Procedures, working with the letters to
6 veterans. I'll be the first to admit I'm --
7 I'm behind on advising Dr. Blake and Mr.
8 Pamperin on those -- those -- those letters and
9 the whole process of -- of communicating most
10 clearly to the veteran what's going on.
11 Frankly, it's a very analytically complicated
12 process and it's quite a challenge to fairly
13 and comprehensively communicate to the veteran
14 what's going on. Questions of documentation,
15 methodology and an-- and analysis, a lot of de--
16 of details. The bottom line is, in my humble
17 opinion, the VBDR, working with NTPR and VA --
18 specifically working with Paul Blake and Tom
19 Pamperin -- has really -- I think we can be
20 proud of ourselves. I think we've made many
21 important accomplishments in the timeliness and
22 systematizing, giving the benefit of the doubt
23 to the veteran, reducing the backlog.
24 Now here's where I really go out on a limb.
25 It's just my opinion but, you know, I've talked

1 with some people and there's some agreement. I
2 would say that the Board as a whole, as a FACA
3 -- Federal Advisory Committee Act board, has
4 made -- here's the big word -- all -- okay? --
5 effectively all the strategic decisions that we
6 can and should for accomplishing the Board's
7 mission and specifying a whole set of actions -
8 - you saw all those recommendations -- that we
9 found to be feasible and effective. Some of
10 this is back and forth. Sometimes we make a
11 recommendation and in interaction with the
12 agency -- well, that's not feasible or that's
13 not -- we can't look at the changes in law to
14 do that. So we've worked out, from all of
15 that, feasible and effective sorts of actions
16 and activities. Now it's a question of
17 implementing them or seeing -- some of them are
18 implemented, a lot of them are -- and
19 initiating an ongoing sort of implementation.
20 So I would say that the strategic and decision-
21 making work has been done. A lot remains to be
22 done, but let's call those tactical things,
23 tactical implementation monitoring.
24 So here's the big leap. It could be that
25 continuing activities from here on in don't

1 require a board like this Board at the scale of
2 this Board, meeting as frequently as this
3 Board.

4 One of the things I've noticed, maybe you've
5 been able to put together from some of the
6 presentations, is we established, as a very
7 reasonable and appropriate and legally and
8 ethically appropriate way to do the work of the
9 Board is to make formal recommendations to the
10 two agencies, and to respond to those
11 recommendations. What you might also have
12 noticed is there's somewhat of shift in that,
13 more in particular with Paul Blake with than
14 (sic) Tom Pamperin, but in both cases of going
15 sort of beyond recommendations to basically say
16 hey, can we do this, can we try that, and this
17 is done or tried or attempted -- ah, let's look
18 at this and talk it over. Which is maybe less
19 legalistic, but actually is very -- very
20 effective. And by the way, can only work
21 because of the relationship of the Board with
22 Paul Blake and Tom Pamperin. I can't tell you
23 how much it has involved a very human, well-
24 intentioned, collegial, mutually respectful
25 interaction between the Board and these two

1 people. We've been extremely fortunate in
2 that.

3 So now what is before us, and we want to be
4 considering over today and tomorrow, is should
5 we keep going the way we are -- which is fine -
6 - or a smaller FACA Board, perhaps meeting less
7 frequently. Or maybe a different board entity
8 or working group that doesn't have the Federal
9 Advisory Committee Act system around it,
10 supported by consultants.

11 I do -- if you remember all the stuff we've
12 been talking about, what needs to be done,
13 there's a lot of work to be done. For
14 instance, not the work that you can expect from
15 a bunch of volunteers meeting on a weekend.

16 Okay?

17 The oth-- the other thing I want to say, and
18 then I'll stop talking, is if you listen
19 carefully to what I've been saying and we've
20 been saying about ongoing activities, they do
21 need to be pursued and advised and implemented
22 and monitored by an outside board that -- I
23 don't know what the polite term is, but a board
24 that has teeth, a board that can actually say
25 you know, I think you should -- perhaps

1 advising -- that you should do things this --
2 down Path B and not Path A, and have that
3 listened to and complied with appropriately by
4 the committee. We can't dictate anything, but
5 we do want to make sure that anything that
6 carries on, whether it's this very same Board
7 the way you're looking at it or another --
8 another entity, that it has the same quality
9 that we've developed so successfully so far as
10 a collegial, cooperative and well-intentioned
11 and responsive interaction with both agencies.
12 Got a little more to say, but I'm going to stop
13 talking now because it's up to the -- let's
14 hear what the -- let's carry on.

15 **VICE ADMIRAL ZIMBLE:** Thank you very much,
16 John. I think what you really described is
17 basically a tipping point for the -- for the
18 Board, that we can now look to continuing our
19 current activities -- basically waiting until a
20 light bulb goes on and we suddenly have a
21 eureka moment and think of a new recommendation
22 to make; or sitting back and waiting until we
23 hear some complaints from somebody that suggest
24 there may be a problem that we have not
25 addressed. But I think a -- a better plan

1 would be to relook at our structure, relook at
2 our mission, look to something that's more in a
3 surveillance oversight mode looking to the
4 correction of the -- not correction, but the --
5 the full implementation of the accepted
6 recommen-- looking to compromises for some of
7 the recommendations that are just too hard and
8 -- and, for example, looking for a -- a cohort
9 for outreach that makes good sense, for
10 example. And I -- I think that means that we
11 might want to relook at the constitution of the
12 Board, et cetera.

13 Now we've had a lot of informal discussions
14 about that, but it -- it -- I think I would
15 like to see as a result of today's and
16 tomorrow's meeting, this session, I'd like to
17 see some formal recommendations coming from the
18 Board having reached a consensus -- some formal
19 recommendations that would come forward to the
20 two agencies offering ways that we think the
21 Board should go. Okay?

22 **DR. LATHROP:** Or -- or would that be
23 recommendations to the Congressional Oversight
24 Committees? I'm unclear.

25 **VICE ADMIRAL ZIMBLE:** I think we have to work

1 with our sponsoring agencies. Okay? We --
2 we're a mandate of the Congressional Committee.
3 We might, at some point in time, want to have
4 some direction from the Veterans Affairs
5 Committee since they -- they created us, and
6 they will have opportunity to review the full
7 recommendations that we make. But I think that
8 we need to direct our recommendations to the
9 two agencies that we support.

10 **BRIEFINGS BY SUBCOMMITTEE CHAIRS**

11 With that, each of the -- each of the
12 subcommittees will -- is -- at each meeting
13 provide a report, and they're going to be doing
14 so at this meeting. But I think each one of
15 them will give a flavor of where their
16 committee has achieved a consensus about what
17 we should be doing so we can take that into
18 consideration. And we'll start with -- we're
19 using a technique of going in numerical order,
20 we'll start with Subcommittee 1.

21 **A REPORT FROM SUBCOMMITTEE 1 ON**

22 **DTRA DOSE RECONSTRUCTION PROCEDURES**

23 **MR. BECK:** Thank you, Mr. Chairman. As usual,
24 this is a -- we have a very long report, we're
25 a very verbose committee -- or subcommittee,
but I'm going to read perhaps more of it today

1 than I usually read because -- so all of you
2 have a copy -- particularly when we're talking
3 about our recommendations for the future. I
4 want to make sure I say what we agreed to and
5 not -- not editorialize at this point.

6 First I will remind you, and I think it's
7 important that -- for this particular report,
8 what our mandate is as far as the task that
9 this committee -- subcommittee is supposed to
10 perform.

11 We're supposed to assess dose reconstruction
12 procedures, including revisions used by NTPR
13 contractors, and these will include the
14 procedures for determining exposure scenarios,
15 the technical procedures for reconstructing
16 doses, and related documentation such as
17 Standard Operating Procedures and so forth.

18 We're supposed to conduct periodic audits of a
19 random sample of NTPR dose reconstructions to
20 ensure that correct procedures have been
21 followed, and to ascertain the quality of
22 reported doses and associated uncertainty
23 estimates.

24 And then we're supposed to prepare a report, as
25 I'm doing here, and present it to the Board.

1 First I'll start out with our activities since
2 the last VBDR meeting. In April we circulated
3 to members of the Board our draft reports on
4 the sixth set of our randomly-selected audits
5 for your perusal and comments.

6 In June we chose some additional cases for
7 audits to report on at this meeting, and these
8 cases were chosen from an updated list of
9 Radiation Dose Assessments that were completed
10 between November 20, '07 and June 20, '08. And
11 in order to see if the improved methodology
12 being developed by NTPR as a result of the
13 various recommendations that we've been told
14 about were being properly implemented, an
15 emphasis was placed on selecting more current
16 RDAs. And you know this has been a -- they've
17 rapidly been changing their methodology, so we
18 have a moving target. So it doesn't make a lot
19 of sense to audit old cases where they no
20 longer are using those procedures.

21 Unfortunately, though, because of the fact that
22 we've been so successful, as Dr. Lathrop has
23 said, there aren't too many cases left for us
24 to audit. And in fact, for this particular
25 period there were only three new cases, or four

1 new cases, of full Radiation Dose Assessments
2 that we could audit -- one of which was a case
3 that was a double-blind that we'll talk about a
4 little later. So we could not pick six cases
5 as we usually do.

6 But aside from these cases, we also did pick
7 six cases of expedited cases. And in that
8 case, for those cases, we do not do a full
9 audit, but we were looking at whether or not
10 the procedures that are in their Standard
11 Operating Procedures could decide whether or
12 not to -- to apply the expedited dose
13 assessment were followed correctly and whether
14 these cases were actually expedited as they
15 should have been.

16 And then we also looked at one
17 Hiroshima/Nagasaki case, which is somewhat
18 different in that that dose assessment is done
19 -- rather than by contractor, it's done by a
20 government employee, one of Dr. Blake's staff.
21 The report -- if you want information on the
22 specific cases, the report gives you details
23 that you can read.

24 Then, as usual, what we do is between each VBDR
25 meeting we try to meet at least once at an NTPR

1 contractor facility, and we did that in -- July
2 30 and 31st, to discuss these cases with the
3 analysts who performed them, and also to be
4 briefed by Dr. Blake on specific issues of
5 interest to Subcommittee 1 and to have informal
6 discussions about the -- what's going on in
7 terms of the methodology. These are all
8 discussions. We do not make any formal
9 recommendations, obviously, at these meetings
10 since it would be against the FACA regulations.
11 But we have found these meetings very useful in
12 terms of exchanging ideas and understanding
13 particular cases that we're trying to audit and
14 being able to ask questions of the actual
15 people who performed the dose reconstruction.
16 I might mention that at these meetings, as we
17 frequently do, we have a member from -- one or
18 two members from SC-3 often attending these
19 meetings. At this -- that meeting we did have
20 two members of SC-3 who attended, as observers,
21 to participate.

22 Some of the activities that were discus-- or
23 the topics that were discussed at this meeting
24 were we received an update from Dr. Blake on
25 the SOP development and the status of the

1 uncertainty analysis proce-- improvements and
2 the status of the double-blind QA studies. We
3 also received a update from Dr. Ingram at the
4 time on the DSS development.

5 The audits -- we were presented -- the audit
6 cases were presented by the particular person
7 who did the -- or persons who did the dose
8 assessment and then we discussed these various
9 cases. And we also received a briefing on the
10 significant progress made by NTPR in using
11 probabilistic uncertainty analysis to validate
12 the current default upper bound estimates used
13 for full dose assessment, and Dr. Blake spoke
14 about that.

15 And we also had a full discussion of the
16 results of one of those four cases, which was
17 the double-blind case, where we heard not only
18 from the con-- the DTRA contractor who did the
19 dose assessment, but also from the two NCPR --
20 NCRP health physicists who did independent dose
21 assessments.

22 And finally, yesterday we met again to prepare
23 this report and discuss -- as the Admiral said,
24 reach a consensus on some of the
25 recommendations that we're going to make to the

1 VBDR regarding where we should go in the
2 future.

3 Just a few notes on the audit assessment
4 findings. As I said, because most dose
5 assessments now follow the expedited procedures
6 recommended by VBDR, there are only a few full
7 dose reconstructions performed per month.

8 Actually at the present time it's more like
9 one, but we're allowing for uncertainty.

10 The current audits continue to demonstrate that
11 NTPR is generally providing the benefit of the
12 doubt in development of the SPAREs, in close
13 cooperation with the veteran. We did not find
14 any significant errors that would impact the
15 decision in any of the cases that we looked at.
16 We found that significant progress has been
17 made by NTPR in documenting procedures and
18 correctly referencing the documentation used in
19 the RDAs and annotating the calculations.

20 However, we found that final versions of
21 standard methods are still not in place for
22 some of the methods used in the RDAs, and Dr.
23 Blake mentioned this was ongoing. And we found
24 that some of the documented SOPs are not always
25 specific enough and do not always provide

1 specific references to the Technical Basis
2 Documents, but this is all part of the ongoing
3 work that Dr. Blake has pointed out is being --
4 is going on to complete these SOPs.

5 We did note that there were -- some apparent
6 new procedures were being implemented by NTPR
7 regarding the reporting of results of RDAs and
8 expedited doses that are not documented in the
9 current SOPs. And we were told yesterday that
10 that is being taken care of and the SOPs are
11 being revised. And particularly this had to do
12 with radio-- non-radiogenic disease cases and
13 how the doses are assigned for them, and there
14 was also an issue of whether or not -- when a
15 full RDA is performed, whether the dose -- the
16 expedited dose is still given to the veteran,
17 even though there was a lower dose that was
18 actually calculated.

19 The RDA reports to the VA and veteran, as well
20 as other communications, are much better but
21 SC-1 believes they still can be further
22 improved. We've found that some of the letters
23 to the VA or the veteran -- actually the same
24 letters -- that provided expedited and
25 calculated doses were still confusing. And

1 particularly we saw if a particular dose
2 estimate is provided to the veteran, the IDA or
3 cover letter should discuss why the new
4 estimate differs from any previous estimates.
5 Often the veterans receive, over the course of
6 many years, many different letters and doses
7 that they've been given, and it's very
8 important that they not confuse expedited doses
9 and not confuse doses that were given by the
10 military 20 years ago with an actual calculated
11 dose under the best current situation. So
12 we've discussed this with Dr. Blake and this is
13 part of their ongoing improvement in the SOPs.
14 We noted that case file documentation continued
15 to improve, and Dr. Blake spoke of the MathCAD
16 program that -- software that they use to do
17 these calculations. And one of our
18 recommendations early on was to improve the
19 documentation so that an outsider or an auditor
20 could really follow these MathCAD calculations,
21 and they have really made a lot of progress in
22 that extent in their latest versions of the
23 MathCAD calculations, even though, as Dr. Blake
24 pointed out, are hundreds of pages, are very
25 well-annotated now and we can understand them

1 much better. We might not agree they're
2 perfect yet, but almost -- really much -- much
3 improved.

4 As requested by VBDR, a Decision Summary Sheet
5 is now being prepared by the DTRA dose
6 reconstruction contractor when he does a full
7 RDA. And this is a little different from the
8 Decision Summary Sheet that's done by the DTRA
9 staff in order to decide whether or not to
10 expedite a case or not, so we actually have two
11 different Decision Summary Sheets being
12 prepared, and both are very important.

13 Based on our review of the six expedited cases
14 we found that the -- these Decision Summary
15 Sheets -- that the documentation of them and
16 the way they justify the decision to expedite
17 or not expedite is -- a case is still evolving,
18 and so we're going -- we agreed with Dr. Blake
19 that we're going to look at how these Decision
20 Summary Sheets evolve over the next -- course
21 of the next several months and that will be
22 done in conjunction with SC-3.

23 And we also identified the need for additional
24 clarification of the SOPs regarding these
25 expedited processes, as well as, as I

1 mentioned, additional explanations in the
2 letter sent to the VA and veteran.

3 On February 27 VBDR recommended that NTPR
4 extend their QA programs to include carrying
5 out the selected duplicate blind RDAs by
6 independent health physicists. And I mentioned
7 that one of the cases reviewed was such a case
8 where, independently, two health physicists
9 reviewed and actually did their own dose
10 reconstruction of a case that was done by the
11 DTRA contractor. The results of this exercise
12 were that the independent contractors, in this
13 particular case, failed to duplicate the prime
14 contractor results. And this was very
15 interesting in the sense that it was important
16 then to find out reasons for this.

17 I should note that this had no effect
18 whatsoever on the claim. The doses in this
19 case were very small, so we're not talking
20 about doses that in any way would affect the
21 claim at all, but in terms of a perception, you
22 know, we want to get to the point where we get
23 the same doses because that is very important
24 to demonstrate that somebody from the outside
25 can actually follow the SOPs and come up with

1 pretty much the same dose. So we were shown
2 yesterday that the contractor for NTPR -- for
3 DTRA actually did a lessons-learned analysis, a
4 very detailed lessons-learned analysis to
5 identify exactly why each of these calculations
6 differed. And it was very enlightening in the
7 sense that part of it was due to actual errors
8 on the part of the independent people, and the
9 reasons for this were varied, but some of it
10 was also due to -- admitted by the contractor -
11 - lack of clarity in the SOPs. And -- and so
12 it showed that there is a need to improve the
13 SOPs, and this is one of the things that comes
14 out of this, which is a very good plus for
15 doing this if it can identify where the SOPs
16 need improvement.

17 We continue to think this is an important
18 exercise because the ability of an independent
19 health physicist to duplicate the NTPR RDAs
20 strengthen confidence in the whole dose
21 reconstruction process.

22 Now let me get to the part that the Admiral is
23 most interested in, the future plans.

24 Of course, as far as SC-1 is concerned, the
25 future plans will depend on the discussions

1 that are taking place in the next -- this
2 afternoon and tomorrow morning. But SC-1 feels
3 that there's no longer a need for VBDR to
4 conduct full audits of randomly-selected cases.
5 The number of full RDAs performed by DTRA is
6 down to only a few a month, and these cases are
7 reviewed both internally by DTRA -- Dr. Blake
8 mentioned that their contractor has three
9 different levels of review there alone -- as
10 well as by an outside contractor, Oak Ridge
11 Associated Universities, so there's already
12 four levels of review outside -- some of which
13 is outside, or independent. In addition, if
14 DTRA continues the double-blind program
15 described above, the double-blind cases will
16 provide an effective, ongoing, independent
17 review of at least some of the RDAs. Thus we
18 feel that SC-1 does not need to continue to
19 routinely audit expedited cases, either.
20 Again, because of the fact that we have an
21 outside -- that DTRA has an outside entity
22 doing a review of the DSS and the decision to
23 refer expediting as well. So basically what
24 we're saying is we don't feel the need for
25 routinely -- for this Board routinely auditing

1 any of the dose reconstructions anymore.
2 However, we point out that the National
3 Academies' report to Congress that essentially
4 resulted in the creation of VBDR recommended
5 that there be continuing, independent, outside
6 oversight of the dose reconstruction process.
7 And this was intended to include an overview of
8 not only the RDA preparation, but also the
9 methodology, the Standard Operating Procedures,
10 communications, and the relationships between
11 DTRA and VA. Thus we feel to fulfill these
12 requirements there still is need for some
13 organization, independent of DTRA, to
14 quote/unquote check the checkers, to assure
15 that these outside reviews are being performed
16 adequately and thoroughly, to assure that the
17 SOPs are maintained up to date, and to review
18 any new NTPR methodology or procedures and
19 assure that all decisions have been adequately
20 documented in the case files.
21 I might mention as an aside, Dr. Blake pointed
22 out in his going forward that one of his needs
23 will be to continue to have somebody review
24 proc-- new -- these procedures.
25 I'd like to also point out that these informal

1 meetings that I mentioned with NTPR staff and
2 contractor analysts have proved very beneficial
3 to identifying problems and potential problems,
4 and discussing these issues with NTPR
5 management. And we believe that these meetings
6 have been useful not only to VBDR in fulfilling
7 its mission and helping us develop
8 recommendations to make to the Board, but also
9 to DTRA in identifying issues that can be
10 corrected without formal recommendations to
11 DTRA upper level management. Dr. Lathrop sort
12 of just mentioned that sort of concept.
13 Thus, even if the missions and organization of
14 VBDR and SC-1 changes as a result of the
15 discussions at this meeting, we feel that these
16 informal meetings should continue to be a part
17 of any future organizational entity.
18 SC-1 emphasizes that independent QA audits done
19 on both full RDAs and expedited cases are -- by
20 the -- DTRA's contractor are very beneficial
21 and should be continued -- this is the outside
22 contractor I'm referring to -- and we have
23 recommended that these audits should be
24 expanded to include quality checks on specific
25 calculations and codes, and Dr. Blake pointed

1 out this is indeed in progress.

2 So our suggested issues for discussion by VBDR
3 and our recommendations are as follows: As far
4 as continuing issues, since NTPR is already in
5 progress to address most of the issues that
6 I've just talked about, we're not proposing any
7 new formal recommendations on dose
8 reconstruction to DTRA at this time. However,
9 we'd like to point out that in particular NTPR
10 has made considerable progress in implementing
11 VBDR's previous recommendation about the
12 default upper bound guidance factors, and we
13 recommend that this continue to receive a high
14 priority. The probabilistic uncertainty
15 assessment underway has demonstrated that the
16 upper bound factor of times three for external
17 dose that they have been using is adequate for
18 most cases. Similar analyses still need to be
19 performed to validate the upper bound factor of
20 times 10 that they have been using for internal
21 dose.

22 However, we note that there is still a need to
23 send more consistent and understandable
24 messages to veterans. Radiation dose
25 assessments should be written for veterans to

1 understand. Letters to the VA/veteran
2 regarding the results of an expedited RDA need
3 to be very clear about the meaning of the
4 assigned dose so that any future claim for a
5 different disease resulting in a different
6 assigned dose will not be underst--
7 misunderstood.

8 As far as our recommendations for the future,
9 we would observe that the major reasons for
10 formation of VBDR and for audits of the dose
11 reconstruction process have been successfully
12 addressed by NTPR. In particular, the backlog
13 of cases awaiting dose reconstruction and the
14 delay in completing dose reconstructions have
15 been greatly reduced, primarily due to the
16 expedited dose assignment process recommended
17 by VBDR and implemented by NTPR. Concurrently
18 the periodic random dose reconstruction audits
19 by SC-1 have helped to stimulate significant
20 improvements by NTPR in the methodology and
21 documentation of cases for which full dose
22 reconstruction is required. While NTPR
23 continues to make ongoing improvements to these
24 processes, SC-1 believes that the major future
25 dose reconstruction-related oversight

1 requirement needs to be directed primarily
2 towards QA oversight. This oversight should
3 include independent review of the contractor
4 double-blind analysis, periodic review of the
5 Oak Ridge Associated Universities' QA
6 activities to ensure they're being performed
7 correctly and thoroughly, and periodic review
8 of new or revised NTPR procedures.

9 SC-1 believes that whether or not VBDR
10 continues in its present form, some type of
11 continuing independent outside oversight of the
12 NTR (sic) program is essential. We believe
13 that the continuing functions recommended above
14 could be more efficiently carried out by a non-
15 FACA advisory committee that meets at least
16 once a year with NTPR program staff. This
17 advisory committee would communicate its
18 findings or recommendations directly to upper
19 level management. In order to assure that the
20 new organization is perceived as completely
21 independent, the members should be appointed
22 and supported by an outside independent non-
23 government entity. A smaller non-FACA
24 organization will allow a much-needed
25 flexibility to rotate experts and thus provide

1 focused expertise for current issues.

2 SC-1 also believes that there will be a
3 continued need to monitor communication and
4 outreach issues, as well as to maintain VA/DTRA
5 coordination with respect to atomic veteran
6 issues. These activities could be coordinated
7 by a similar non-FACA advisory committee via
8 perhaps an existing joint DoD/VA entity.

9 SC-1 therefore suggests that VBDR recommend
10 that the current VBDR FACA committee be
11 disestablished and that one or more non-FACA
12 advisory committees be established instead to
13 provide continuing oversight and DoD/VA
14 coordination of the dose reconstruction and
15 claim adjudication procedures for atomic
16 veterans.

17 Thank you.

18 **VICE ADMIRAL ZIMBLE:** Thank you very much,
19 Harold. That was a very complete and -- review
20 of -- of your proceedings and excellent
21 recommendations. I -- I just have -- I had one
22 question. If there are so few dose
23 reconstructions now being performed thanks to
24 the ex-- ex-- expedited processes, would it be
25 wise to recommend that all dose reconstructions

1 -- full dose reconstructions be double-blinded
2 as an -- as an effort to -- to get the -- to
3 validate -- each of the results would validate
4 one another or, if there is a difference, be
5 subject to further study and I -- and I think
6 that would tend to prove quality.

7 **MR. BECK:** Before Dr. Blake has a heart attack,
8 I -- I should point -- I mean to be fair about
9 this, we -- in discussing the 12 or 15 full
10 dose assessments that now are being done --

11 **VICE ADMIRAL ZIMBLE:** A year.

12 **MR. BECK:** -- are usually the most complicated
13 -- very complicated cases, which cost a lot of
14 money to do.

15 **VICE ADMIRAL ZIMBLE:** Got it.

16 **MR. BECK:** And so this would be a considerable
17 expense. I certainly -- I wouldn't want to
18 make a formal recommendation myself, and we
19 haven't discussed this as a committee, but I --
20 I do think -- we have recommended that the
21 double-blind continue. We have not said how
22 many, and Dr. Blake, as this improves and as he
23 gets better training, he may well consider
24 doing more than one or -- you know, the number
25 that he could do a year would -- I think is --

1 it probably could be more than one, and
2 probably should be two or three of those, and I
3 don't think there's any problem with this and I
4 think this could be agreed upon in a formal
5 way. Certainly we think it's a valuable
6 exercise, even -- we should point out, and I
7 think everybody should appreciate this -- we
8 discussed this, and I think if you listen to
9 some of the words that have been said, the --
10 the contractor who does these full dose
11 assessments has a very experienced team, a lot
12 of history. They've done this and they work as
13 a team, and it's very hard to expect an outside
14 health physicist to be able to do what they do
15 in exactly the same level. And it will take
16 them quite some time to even get near that, I
17 think.

18 **VICE ADMIRAL ZIMBLE:** Okay.

19 **MR. BECK:** Notwithstanding that, we still think
20 that we get valuable results from this, but I -
21 - I think it would be naive to expect them to
22 be really equal in terms of the expertise and
23 effort and time that -- the cost of, for
24 instance, his contractor doing some of these is
25 -- runs to the \$20,000 type cost, you know. I

1 don't think our NCRP people are getting paid
2 quite that much to do it.

3 **VICE ADMIRAL ZIMBLE:** Probably got the -- got
4 the DFO to squinch (sic) a little bit when I
5 made that comment. I didn't realize we're
6 talking about that much money.

7 Okay, you have a comment, John?

8 **DR. LATHROP:** Yes, just to follow up on that,
9 and this is always sort of a hard subject to
10 deal with, but I wonder if I would just --
11 directly following from the last five minutes
12 of conversation -- ask Dr. Blake, what is the
13 situation within NTPR and DTRA in terms of
14 establishing and maintaining expertise in dose
15 reconstruction and, as a member who does a lot
16 of counterterrorism and -- at Lawrence
17 Livermore, I'm always interested in the
18 country's capability for dose reconstruction,
19 headroom, surge capacity for that, because some
20 of this could play into a longer strategy for
21 NTPR and DTRA, maybe.

22 **DR. BLAKE:** Well, to answer your question, Dr.
23 Lathrop, the -- the NTPR team at the Defense
24 Threat Reduction agency is about 40 Full Time
25 Equivalent personnel. A small part of that is

1 actually government staff; myself as civil
2 service, long-term there. The active duty
3 officer will have a typical two to three-year
4 tour, then go on, do other type of stuff. But
5 it -- that officer comes in with a strong
6 health physics background and -- and the next
7 one will come in that way, too, but the active
8 duty are always going to turn over.

9 On the contract side, historically some of our
10 contract team has been working with us in one
11 phase or another for 20-plus years, so we have
12 a lot of long-term continuity in what we do.
13 But it's still a contract process and what we
14 did on the last major award where anyone can
15 bid, it's -- it's certainly not -- selected was
16 we set it up to do for -- a -- a base award
17 with seven option years, so what we saw was a
18 lifetime on this program going on for about 15
19 years more and we said let's do one more major
20 contract award, which we did about a year ago,
21 so we have about a 8-year lifetime on that
22 contract, and then we do one other one, because
23 I think at that time the workload's going to
24 start dropping off.

25 So we've looked at the long-term vision where

1 we -- where we've been, and I think we have in
2 place continuity of a well-trained team. But
3 with your -- regards to your other question on
4 bigger impact besides just atomic veterans,
5 within my chain of command there is a concern.
6 It's something that we look at certainly at
7 Defense Threat Reduction Agency if a weapon was
8 to go off in this country. And part of what
9 our function is would be to support something
10 called consequence management. That doesn't
11 help immediately, but there are compensation
12 decisions if an accident happened. There's two
13 major groups that do dose reconstruction in
14 this country. There's my team, and there's a
15 slightly larger team over at the National
16 Institutes of Occupational Safety and Health,
17 but they're not weapons-focused. They're more
18 industrial controlled-group focused. And so
19 even though I've participated and assisted
20 there on -- when they've done weapons, we're
21 the one group that's uniquely focused on dose
22 reconstruction in this country, and perhaps the
23 world, in this area. So we have a small team.
24 If, all of a sudden, we got a lot -- a large --
25 more work -- workload, we can, based on that

1 core team, expand. And that's one reason the
2 training we've gone through on standardization
3 and the procedures are very important. So the
4 chain of command looks at it more than --
5 certainly our veterans are a primary concern,
6 but we see a peripheral concern here that we
7 do, too. And if nothing else, we want to keep
8 doing a few full dose reconstructions simply to
9 keep that -- that strength in place. So I
10 would argue, from our viewpoint at our agency,
11 that there's a long-term funding commitment,
12 not only to our veterans but also to the other
13 concerns we have as an agency. And certainly
14 from programming and budget purposes, I don't
15 see any problem at the current levels of where
16 we've gone and how we would continue that
17 program. I hope that answers your question.

18 **DR. LATHROP:** That's perfect, just what I was
19 looking for.

20 **VICE ADMIRAL ZIMBLE:** Okay. Dr. Swenson. Dr.
21 Swenson?

22 **DR. SWENSON:** Thank you. In reference to Dr.
23 Beck's finding on communications -- Mr. Beck's
24 finding on communications, and also what John
25 said before in his gap analysis, I would

1 suggest that Elaine Vaughan be involved in the
2 communications that go to veterans. She is
3 really the expertise -- expert on risk
4 communication. I think, you know, it would
5 behoove us to have her involved in that
6 communication.

7 **VICE ADMIRAL ZIMBLE:** Yes, well, as you may
8 know, Elaine Vaughan is currently a consultant
9 to this Board and we certainly would -- would
10 ask her to -- to help in that regard. Dr.
11 Fleming also has had a great deal of experience
12 in that regard, so I think we have two
13 individuals that -- that -- of whom we are well
14 aware and know what experience and talents they
15 can bring to the scene. So I agree
16 wholeheartedly with you.
17 Now Elaine -- is Elaine on -- Elaine, are you
18 there?

19 (No response)

20 Okay, she -- well, if you're there, I hope your
21 ears are burning because -- there she is.

22 **DR. VAUGHAN:** Well, thank you very much for the
23 --

24 **VICE ADMIRAL ZIMBLE:** Okay.

25 **DR. VAUGHAN:** -- nice words.

1 **VICE ADMIRAL ZIMBLE:** Okay. Yes, you're
2 excusing us-- you continue to be held in very
3 high regard and we will continue to need your
4 services, so we thank you very --

5 **DR. VAUGHAN:** Well, I'm very happy to do
6 whatever I can.

7 **VICE ADMIRAL ZIMBLE:** Okay, and we thank you
8 very much for being there today.

9 **DR. VAUGHAN:** Thank you.

10 **VICE ADMIRAL ZIMBLE:** Right. Dr. Fleming.

11 **DR. FLEMING:** I just have a question, Harold,
12 about number three on page 4. This is the
13 apparent new procedures for implementing --
14 were implemented by NTPR on -- to expedite non-
15 radiogenic diseases. Could you just tell us a
16 little bit more about what that's a-- what --
17 what the issue is there and -- and could you
18 just remind -- at least me -- the results of
19 Subcommittee 5 -- Subcommittee 5 on the
20 discretion that NTPR has for determining which
21 diseases should be expedited?

22 **MR. BECK:** Well, I'll say a little and then
23 I'll let Dr. Blake correct me.

24 As far as the Subcommittee 5, we did not
25 consider in Subcommittee 5 non-radiogenic

1 diseases. In fact, if you'll remember, at that
2 time the Board was hoping that the -- that it
3 can go to DTRA.

4 As far as the non-radiogenic diseases, what --
5 what we were seeing is that they were sending
6 over a -- they were not doing a full dose
7 reconstruction, and you can correct me if I'm
8 wrong, they were just -- they were assigning an
9 expedited dose. If you'll remember, in
10 Subcommittee 5 we recommended that the
11 expedited doses be based on the PC tables as
12 well as their past experience in -- well, it's
13 dose reconstructions of that type of -- not the
14 particular illness, but the -- where they were,
15 as a rule. So what they have done, I believe,
16 is based -- they -- they do not have -- they do
17 not assign the expedited dose the same way as
18 they would for the -- a Subcommittee 5 disease
19 where there actually is a PC. And maybe Dr.
20 Blake will explain a little bit more how they
21 come up with that, but basically what
22 Subcommittee 1 noticed was that this was the
23 first time we had actually seen that they were
24 sending out letters with these assigned doses.
25 **DR. BLAKE:** Dr. Fleming, to just follow up on

1 Mr. Beck's comments there, we had a challenge
2 here as an agency. As you know, we've had a
3 lot of discussion on the non-radiogenic disease
4 -- let's say arthritis or general lethargy --
5 that there's no indications in some of these
6 diseases that they're caused by radiation, but
7 -- and a lot of discussions with the VA how to
8 handle these cases 'cause we can spend a lot of
9 money doing something that basically it gets
10 over to the VA and they can't -- how do you do
11 a probability of causation? There's no way to
12 do it. Unfortunately, the VA is also in the
13 position of -- they don't have a good option,
14 too, and so they ask us to go ahead and do
15 those cases, even though we realize -- in the
16 early years we were averaging about \$12,000 to
17 do one of these cases and now when we do a full
18 case it sometimes get as high -- gets as high
19 as \$40,000, not including some of the
20 additional -- NCRP looks at it, too. So it's a
21 lot of money to do a full case out, and the
22 question is does this make any sense if it's
23 not going to go to service connection, they
24 can't even do a probability of causation. So
25 we -- when we came up with the expedited

1 process, the -- the data that we presented to
2 SC-1 and other groups were, one, based on the
3 probability of causation, dependent upon which
4 organs are more radiogenic, but the other
5 strong color was based on was a complete review
6 of every dose reconstruction that NTPR -- NTPR
7 had done over the years, you know, thousands
8 and thousands of them, which established upper
9 bounds for us along with analysis. And so our
10 thoughts were here we are, caught in a little
11 of a tough place. How do we -- how do we
12 resolve this case? We didn't agree that we
13 should be forwarding these cases on, non-
14 radiogenic. But on the other hand, who's left
15 suffering as a veteran. So we needed to come
16 up with a method to resolve how we handled non-
17 radiogenic doses at our agency. And so the
18 conclusion was we are going to release a dose
19 to the VA. We're going to move ahead. Mr.
20 Pamperin just recently gave us some -- some
21 other information here at this meeting where we
22 may be able to resolve that a little bit, but
23 the way we could do that is we look at the
24 highest possible dose that could have gone for
25 that category, and when we look at cohorts we

1 look at the -- for any of that particular --
2 let's say that sailor on that ship, what was
3 the highest possible dose that we could get, we
4 assigned a dose larger than that, and that's
5 what we release. So we're not saying that's
6 the dose the sailor got. We're not even saying
7 that's the associ-- you know, the uncertainty
8 associated. We say it's simply an upper bound.
9 It can't be greater than that dose. We get it
10 out the door. It's -- we don't keep that
11 veteran hanging and I don't -- when it gets
12 over to the VA side, I'm not quite sure what
13 they're going to do with the dose, but I -- I
14 believe it's going to go out -- truly, the
15 physician can't use the probability of
16 causation tables. He'll do a -- a medical
17 opinion and basically say no matter what the
18 dose was, this is not going to be service
19 connected. So at least the veteran is getting
20 a timely response and it's how we worked in
21 between the different regulations that we're
22 caught in to get it out. So we took the
23 regulations from the VBDR and probably took it
24 one step -- a little more than they originally
25 discussed, and now we're reflecting our SOPs,

1 revising them to reflect what we're exactly
2 doing.

3 **VICE ADMIRAL ZIMBLE:** Okay, as I -- as I
4 understand it, and I do note that Dr. Reeves
5 has written several letters explaining the non-
6 radiogenecity of a particular condition, that
7 still does on, does it not? So basically we
8 have a physician expert stating that you
9 receive -- you, Mr. Atomic Veteran, have
10 received a dose that could not possibly have
11 exceeded this number, and this number -- and
12 there is -- there is no way that a dose of that
13 number could have caused your condition such --
14 there's -- there's no scientific evidence for
15 that. And -- and I think that's probably the
16 best way we're going to be able to handle that.
17 And the -- the mo-- the thing that distresses
18 me the most is that all this takes time, and so
19 we -- we have a claim that comes in that
20 obviously is going to be denied on the basis of
21 being due to exposure to ionizing radiation and
22 it has to go through this process. The faster
23 it can go through this process, the better.
24 And -- and I would hope that there are some
25 advisors to the veterans at the very beginning

1 of the process who can look at this claim and
2 advise the veteran that this claim is most
3 likely, 99 percent chance, going to be denied
4 and he'd best go buy a lottery ticket.

5 Any more comments? Oh, Dr. McCurdy.

6 **DR. MCCURDY:** Dr. Blake, when you assign this
7 dose, do you associate any organ with it?

8 **DR. BLAKE:** It -- it depends on -- the VA is
9 asking us for an organ dose, but how do you
10 assign an organ -- for instance, arthritis, or
11 there are many other types of diseases that
12 come over that there's no organ -- it's ill-
13 defined when it comes to us in the first place,
14 so we come back with here's an upper bound dose
15 associated with what you've asked, but it is --
16 I -- it's an unclear picture on how we respond.

17 **DR. MCCURDY:** Is it an upper bound from all
18 organs for that particular scenario? I mean is
19 that -- is that the way you pull the number out
20 of?

21 **DR. BLAKE:** Right, there's a term in
22 probability of causation where you -- there's
23 an actual category for non-- non-organ -- just
24 a general term, and that's one way we can come
25 in with -- when you can't do anything else,

1 there's a catch-all term, and basically that's
2 what we can come back with a response in some
3 cases.

4 **VICE ADMIRAL ZIMBLE:** Dr. Fleming, do you have
5 anoth-- do you have another question? Okay,
6 no.

7 **DR. LATHROP:** Just to -- not to pursue this too
8 hard, but so do I understand correctly that the
9 veteran is given a statement saying given this
10 dose, and your dose must have been less than
11 this dose, there can be no service connection,
12 when in fact the truth of the matter is
13 frankly, for any dose, there wouldn't be a
14 service connection, but the veteran's never
15 told that. I guess that's okay. It's all --
16 strikes me as a little bit of an Alice in
17 Wonderla-- I just wanted to see, from a risk
18 communication point of view, what's happening.
19 Don't quote me about the Alice thing.

20 **DR. BLAKE:** Both Mr. Pamperin and I are jumping
21 on that because certainly the Department of
22 Defense is not going to make any comment about
23 service connection. That -- that's a VA
24 function. All we do is report a dose.

25 **VICE ADMIRAL ZIMBLE:** Mr. Pamperin.

1 **MR. PAMPERIN:** I -- just a question then. If I
2 submit a claim for my male pattern baldness and
3 you get a maximum dose for that, and I
4 subsequently develop lung cancer and -- is the
5 agency stuck with that dose?

6 **DR. BLAKE:** No -- no, it's not, and I think
7 that's one of the things that you're hearing at
8 the discussions about communication,
9 distinguishing an actual dose with an
10 associated uncertainty from an upper bound, and
11 that's difficult to explain to our veterans
12 'cause they see a dose that's a rem. It's a
13 rem, it's a rem. But one thing that we -- we
14 spent a lot of time in our correspondence is
15 trying to make that explicitly clear, the
16 difference between a -- a value that we say is
17 the absolute top value, we don't say it has any
18 connection to reality except it can't exceed
19 that, by some actual dose that we've gone
20 through and determined with an associated
21 uncertainty with it. And so if they came back
22 with a specific organ dose of that type, then
23 we would -- we would report that and once again
24 try to explain what the difference was to the
25 veteran. But you -- you're hitting on a

1 significant communication aspect as we try to
2 communicate with the veteran on what's going
3 on.

4 **VICE ADMIRAL ZIMBLE:** This is just why we need
5 Elaine Vaughan to review this communication and
6 make sure we've done it in as -- as
7 understandable a method as possible. Mr. Ba--
8 Mr. Beck.

9 **MR. BECK:** Yeah, I just -- in my report I did
10 point this out as one of our findings, but I
11 just want to emphasize we saw this in the same
12 letter where the veteran, in the same letter,
13 received two doses, an expedited dose and a
14 calculated dose. And so that -- that's even
15 more glaring problem than if he gets several
16 different letters over the years. So this
17 really is a communications problem that we've
18 identified and talked to Dr. Blake about, and I
19 think he really needs to get together with
20 Elaine and develop some kind of procedure about
21 how -- what -- how they're going to communicate
22 this 'cause it is very tricky. It's very
23 important.

24 **DR. BLAKE:** Harold, if I could just respond,
25 that with -- the reason those cases come up is

1 a veteran can present with multiple cancers or
2 diseases. We have to report multiple organ
3 doses. Some of them may fall under the
4 expedited category and some aren't applicable,
5 we have to do a full dose calculation, and
6 that's once again why we have to be able to
7 explain to the veteran the difference in what
8 we're actually doing there, and that is the --
9 the challenge in communication issues.

10 **VICE ADMIRAL ZIMBLE:** Dr. Swenson?

11 **DR. SWENSON:** This question is for Mr.
12 Pamperin. Is there a chance that when the
13 claims officer, after they've completed your
14 male pattern baldness request and you have the
15 letter from DTRA about that, then you -- that
16 you submit the claim for the lung cancer, could
17 they look at that accidentally and not send it
18 on to DTRA, or do they -- I mean is that a
19 chance?

20 **MR. PAMPERIN:** I -- absolutely. I mean it -- I
21 mean my guess is it would happen more often
22 than not and I -- I've made a note to myself
23 that we have to give -- explain this to the
24 field very closely. We have a way of marking
25 in our system the kinds of information that I

1 think we could have a work around that would be
2 kind of a flash -- hey, you know, get
3 anymore...

4 **VICE ADMIRAL ZIMBLE:** I have a question, Mr.
5 Pamperin, and this is just a -- out of the
6 blue, but if the veteran supplies a claim for a
7 particular condition which we know is non-
8 radiogenic, and his personal physician, his
9 health provider, has written a letter for him,
10 which happens a great number of times --
11 written a letter saying it's a possibility, and
12 -- and we're now in the middle of a process
13 that is very difficult to turn around. Is
14 there a pot-- is there a possibility of the VA
15 sending a letter back to the practitioner
16 advising him of the -- of today's science and
17 of today's findings and the fact that the --
18 that it is unlikely that -- that -- that his
19 diagnosis is correct and perhaps he ought to
20 relook at that? Again, just a suggestion, so
21 that that same practitioner doesn't keep using
22 that letter over and over again.

23 **MR. PAMPERIN:** That would be kind of unique in
24 our process to do that. I think,
25 realistically, particularly treating physicians

1 are advocates for their patients and sometimes
2 when their patients are really insistent, in
3 order to maintain the relationship they may be
4 willing to sign some very non-definitive
5 document that we have to dia-- that we have to
6 deal with. But I -- I think more -- and I
7 think that would be putting the -- the
8 clinician in an awkward position because we do
9 recognize that, you know, they are confronted
10 with patients who are trying to get disability
11 Social Security or special parking permits or a
12 whole host of other kind of benefits due to
13 disability, and that, you know, it's -- it's
14 just easier, if you're going to maintain the
15 patient relationship, to do something that's
16 innocuous. So I guess our approach -- I think
17 we would be more comfortable with just saying,
18 you know, we've looked at the evidence and he's
19 wrong. You know, and take the -- take the --
20 the hit ourselves.

21 **VICE ADMIRAL ZIMBLE:** Okay. I thought it was
22 just a marvelous opportunity for continuing
23 education.

24 Dr. Zeman.

25 **DR. ZEMAN:** I'd just like to raise one point,

1 and that is the -- the role of the Decision
2 Summary Sheet is a very important -- important
3 role, and that is one that is still maturing
4 and still evolving. It's -- it's not just the
5 communications to the VA and the vet that need
6 to be clear, but also the file itself, the
7 record in -- in DTRA's hands needs to be clear
8 so that people like myself, when we come to
9 review a case, can try to understand exactly
10 what's been done. The logic tree that leads
11 from arrival of the case down to exactly how
12 it's handled and whether some organs might have
13 an expedited dose and other organs not an
14 expedited dose but a full calculated dose is --
15 is a complicated logic that needs to be well
16 documented, clearly, so that a year from now,
17 two years, five years from now when somebody
18 comes back to look at that case, they'll be
19 able to understand exactly how the decisions
20 were made to process it the way it was
21 processed.

22 **VICE ADMIRAL ZIMBLE:** It would be a great help
23 to the future oversight entity. Mr. Beck.

24 **MR. BECK:** I'd just like to follow up on that a
25 little bit, also. These doses they're assigned

1 go into the NTPR database, NUTRIS*, and again
2 here's a quality assurance issue that if it's
3 not clear when they go in there, what's a
4 calculated dose and what's a non-calculated or
5 expedited dose, there could be big problems
6 down the line with somebody doing an
7 epidemiological study and going through there
8 and picking out a dose, and it turns out it's
9 an expedited dose, extreme upper bound, rather
10 than a real dose. So I -- I think that -- my
11 question is, is there some kind of quality
12 assurance on that input into DT-- into NUTRIS,
13 you know, out -- we've looked at a couple of
14 those and there's little codes there, and if
15 you don't know those codes and somebody puts
16 the wrong code in, could be a problem.

17 **DR. BLAKE:** I'll -- I'll answer that in two
18 ways. One, I'll -- I'll take that as an action
19 item to ensure, if it hasn't already occurred,
20 that those appropriate flags are in there on
21 coding. But the second thing is, most of our
22 expedited doses are so much larger than any
23 calculated dose that they do -- you can almost
24 recognize them just from that perspective
25 itself. But we'll take a look at that from a

1 quality assurance viewpoint and -- and next
2 time I report back, I will address that with
3 you on what we've done and how we're ensuring
4 that that -- that actually occurs. And that's
5 an item that'll come back to both SC-1 and SC-3
6 on when we put it into our database 'cause
7 perhaps Dr. Boice is -- may be the most
8 knowledgeable one that's spent a -- many hours
9 looking at our database with all the different
10 flags, but we -- we have a lot of flags in
11 there, whether it's a film badge dose, how we
12 calculated that dose, and many fields in that -
13 - and how they track. And certainly we do
14 need to make it very clear whether it's an
15 expedited dose or a calculated dose, or an
16 actual measurement from a film badge and other
17 avenues, too, so I've got that as an action
18 item and I -- when I report back in a month or
19 two on all the results of quality assurance to
20 both subcommittees, I will provide that as --
21 as an update.

22 A REPORT FROM SUBCOMMITTEE 2 ON

23 VA CLAIMS ADJUCICATION PROCEDURES

24 **VICE ADMIRAL ZIMBLE:** All right, I think we can
25 now move on to Subcommittee 2. I'm very
 pleased and relieved to see it's a two-page

1 report and so --

2 **DR. BLANCK:** Thank you, Mr. Chairman. I've
3 added a lot since our meeting, however.

4 (Pause)

5 **VICE ADMIRAL ZIMBLE:** I'm sorry, I've been
6 reminded that we need to now ask the Board if
7 they will accept report from Subcommittee
8 Number 1, so all those in favor of accepting
9 Subcommittee 1's report?

10 (Affirmative responses)

11 Okay. Opposed?

12 (No responses)

13 Hearing none, we'll move on to report number
14 two.

15 **DR. BLANCK:** Thank you, Mr. Chairman.
16 Subcommittee 2, in yesterday's meeting,
17 reviewed the response from the VA, a very
18 timely response of 20 May which at least I had
19 not seen previously and congratulate the VA on
20 their timely and positive response to our
21 recommendations from the 2nd and 3rd of April
22 meeting.
23 Since then we have also asked our consultant to
24 review seven additionally randomly selected
25 cases from the Jackson VA Regional Office.

1 Obviously since it's consolidated, we need to
2 provide that -- that random audit to see how
3 they are doing. We were not totally pleased to
4 find at least two instances, and I think there
5 were a couple of more, where cases were not
6 processed -- at least as the consultant said --
7 properly. One was that one was processed under
8 the non-presumptive, should have been
9 presumptive; another valid veteran's claim
10 returned.

11 Now actually the VA has subsequently looked at
12 these and perhaps there is more to the story.
13 That is, they've gone into greater detail and
14 the consultant may not have been right. The
15 point is that the consultant's review brings up
16 issues that then need to be looked at by the
17 VA. They may be found to be true mistakes
18 corrected, or may be found that the -- the
19 consultant's report is incorrect. So this is a
20 very valuable kind of thing that we intend to
21 continue.

22 We held a conference call on 16 July to discuss
23 the issues raised in the consultant's report,
24 with Mr. Pamperin and each other, and forwarded
25 copies of the audits to the VA for exactly the

1 kind of review that I've just described. We
2 continue to ask that the Jackson VA receive the
3 proper number of resources, dedicated
4 personnel, and that ongoing training continues.
5 We mentioned in our April 2008 report that the
6 VA's sole qualified atomic veteran medical
7 expert, Dr. Otchin, retired. You've heard Mr.
8 Pamperin say that Dr. Reeves has provided some
9 coverage, and appreciate DTRA's willingness to
10 provide Dr. Reeves to the VA, and that either a
11 contract or a hire of someone to replace Dr.
12 Otchin is expected in our lifetime -- no,
13 actually within the next -- next month. So all
14 positive steps because we believe that, because
15 of the backlog engendered by that, there were
16 additional Congressional inquiries, some
17 frustration on the part of atomic veterans, and
18 it appears that a lot of the backlog is being
19 cleared, thanks to Dr. Reeves, and then having
20 -- having folks on board soon. That -- that
21 will be very helpful.

22 We ask -- and -- and this was a recommendation
23 from the last time that was put off -- that
24 once the vacancy of the reviewing health
25 professional in the Veterans Health

1 Administration is filled, Dr. Otchin's job,
2 then documentation of the requirement
3 supporting decisions for non-presumptive claims
4 should be done. And Mr. Pamperin now
5 recognizes that recommendation and we're
6 working it. Obviously it's not going to be in
7 someone -- until someone is in that job.
8 We also learned that effective August 1, 2008
9 the consultant we've used, Ms. Jean York, will
10 be rejoining the VA, and so therefore, since we
11 do intend to continue these random audits,
12 another consultant needs to be hired. We would
13 like to review 20 additional cases after this
14 meeting, and we would recommen-- welcome a
15 recommendation from the VA as to that person.
16 We've talked with Mr. Pamperin about that.
17 Subcommittee 2 specifically wants to commend
18 the Jackson VA Regional Office on their efforts
19 and hard work performed in support of this
20 mission. They are dedicated folks and doing a
21 great job. We do note that there seems to be a
22 few areas in the process where perhaps some
23 degree of further streamlining might occur.
24 Perhaps we could be helpful in that. We've
25 talked about another visit of a couple of

1 subcommittee members, as occurred some months
2 ago, that seemed to show some issues that the
3 VA corrected. So we really are pretty much
4 recommending what we've already recommended,
5 that there be continued ongoing refresher
6 training to the Jackson VA Regional Office
7 staff about processing this, and that's just
8 going -- ongoing kind of thing. And as new
9 people come in, that -- that will need to
10 occur; that the VA continue to ensure proper
11 resources and that there be some response to
12 our recommendation that a -- a documentation of
13 the requirements be done when a new person is
14 in Dr. Otchin's job.

15 Subcommittee 2 did not specifically address
16 where we go after this, but in our discussions
17 it seems clear to me, and I believe the other
18 members of the subcommittee would agree, that
19 much of the success of how the VA has dealt
20 with this has to do with the consolidation at
21 one office, but that clearly continued auditing
22 needs to be carried out for the foreseeable
23 future, and may well go into -- I believe it
24 was Edna MacDonald's process and -- and she has
25 a STAR report that might take some of the --

1 the auditing function. Certainly there are
2 measures that could be looked at. One was
3 mentioned this morning as far as the percentage
4 of non-valid -- non-atomic veteran claims sent
5 to that office that had to be returned, those
6 sorts of things. So an audit of what goes on
7 down there just needs to be continued for the
8 foreseeable.

9 But the big point of the consolidation, I
10 congratulate you and I think all members of the
11 subcommittee do -- or the whole Board -- 'cause
12 that's been monumental. Thank you.

13 **VICE ADMIRAL ZIMBLE:** Dr. Blake, I would -- Dr.
14 Blanck, I would gather from -- from this report
15 that -- that you don't -- you're not asking for
16 any new formal recommendation from the Board.

17 **DR. BLANCK:** That's actually correct. It's a
18 continuation of what --

19 **VICE ADMIRAL ZIMBLE:** Right.

20 **DR. BLANCK:** -- we've already recommended.

21 **VICE ADMIRAL ZIMBLE:** But in view of the fact
22 that you feel that there is a -- a need for
23 ongoing auditing and for ongoing review of
24 training processes, et cetera, that you would
25 concur with Subcommittee 1 in that there be an

1 independent entity, preferably non-FACA, that
2 would in fact provide not only auditing and
3 surveillance of the processes of dose
4 reconstruction, but also the auditing of the
5 processes coming out of Jackson, Mississippi --

6 **DR. BLANCK:** Right.

7 **VICE ADMIRAL ZIMBLE:** Okay.

8 **DR. BLANCK:** Yes, exactly. We would support
9 the recommendations of Subcommittee 1.

10 **VICE ADMIRAL ZIMBLE:** Okay. All right, any
11 comments? Oh, Mr. Pamperin.

12 **MR. PAMPERIN:** Yes, I -- before lunch I had the
13 opportunity to talk to Dr. Blake's predecessor,
14 who is -- currently works for another company
15 that VHA, in addition to hiring a clinician for
16 the dose reconstruction, is also seeking
17 contractor support. And as a result of the
18 recommendation that you had made the last time
19 about an SOP, he informs me that the -- one of
20 the work requirements in the statement of work
21 for this is the development of this SOP.

22 **DR. BLANCK:** Excellent.

23 **VICE ADMIRAL ZIMBLE:** Any other comments?

24 (No responses)

25 Hearing none, do we accept the report of

1 Subcommittee 2? All those in favor?

2 (Affirmative responses)

3 Opposed?

4 (No responses)

5 Hearing none, we will accept report number two.

6 Subcommittee 3.

**A REPORT FROM SUBCOMMITTEE 3 ON QUALITY MANAGEMENT
AND VA PROCESS INTEGRATION WITH DTRA NUCLEAR TEST
PERSONNEL REVIEW PROGRAM**

7 DR. REIMANN: Okay. Subcommittee 3 deals with
8 all aspects of quality management in dose
9 reconstruction and claims adjudication
10 procedures, things that you've heard a fair bit
11 about here in the last little over an hour, and
12 make recommendations in parallel with these
13 other committees, but hopefully reinforcing.
14 And in fact that effort to reinforce is a very
15 important part of getting the integration that
16 is -- is a major purpose of our subcommittee.
17 So we sort of try to do our work via
18 interactive approaches to maintain that
19 integration. And in doing so, for example,
20 Dave McCurdy here to my right has been a
21 regular liaison to SC-1. Others of us have
22 also participated in probably two or three or
23 more of SC-1 meetings. And John Lathrop across

1 the way, who presented the gap analysis, is
2 also a regular card-carrying member of the
3 Subcommittee 4. So that's how we -- we do our
4 work, so it's highly interactive. And that
5 also, I think, puts the main burden of the case
6 we want to make so that it isn't too
7 repetitious when, by the nature of what we're
8 doing, it will be somewhat repetitious. So
9 we're in the quality management business and
10 so, for example, we're heavily involved in
11 design kinds of issues related to the creation
12 of some kind of a system. And if a -- if a
13 VBDR sunset or a transition means anything, it
14 means that at some point the pro-- the program,
15 the work of the two agencies on behalf of the
16 veterans are so systemic and so built into the
17 way the agencies do their work, with well-
18 defined quality systems, that really at that
19 point minimal outside involvement is needed,
20 and I'll come back to that when we talk about
21 the future of -- of VBDR.

22 Now I mentioned the design end of the spectrum
23 here in terms of trying to perceive the systems
24 that wrap around the work of the two agencies
25 and the way the two agencies interact. We're

1 also the people who I think have to be most
2 sensitive to the wiring diagrams of how all
3 these things work when they're up and running.
4 And that up and running part is the last thing
5 that happens, particularly when a program is
6 digging out of past concerns that led to the
7 creation of the Board. So there's a -- a big
8 investment in things that were really design
9 issues maybe 15, 20 or more years ago, but the
10 best we can do now is to put those things on a
11 sound footing.

12 So on the front end we're supposed to be
13 design-oriented people, and at the back end
14 we're supposed to be the last people to be
15 happy, and -- because it takes time to get an
16 embedded system.

17 So having given that little brief background of
18 -- of who we are, what we're trying to do is
19 think about the various strategies for
20 eliminating errors and also mechanisms for
21 exposing the errors that are made so that they
22 can be eliminated. So those are two major
23 strategic issues. So some of the dialogue this
24 morning after -- particularly after Tom
25 Pamperin's discussion, were really about that

1 where we noted that the number of claims being
2 routed incorrectly from the other VAROs,
3 something like 34 percent, but just knowing
4 that is -- is a very, very important and
5 dynamic step. So in a sense, at this stage
6 we're really happy about that because the
7 frustrations of not knowing things like that
8 leave you hanging in terms of where the heck
9 are we. So the fact that this is now on the
10 radar screen as an important metric gives us a
11 step up, as does the report that Ron Blanck's
12 committee just noted that it's -- it's now
13 looking at. So those are the kinds of things
14 that give a philosophical background.
15 So that will al-- that, plus the comments made
16 by the other two committees, I think will allow
17 for a tighter presentation of the last part.
18 It's already been noted by -- by Harold, who's
19 one of my favorite pen pals now, SC-1 and SC-3
20 are routinely sharing these kinds of things and
21 -- and many of the insights related to our work
22 I think have properly come from SC-1, because
23 very often the technical knowledge is beyond
24 that of our group in terms of dose
25 reconstruction, and so we have to filter ideas

1 through their committee to see if something
2 really makes sense. Is that our lack of
3 understanding or is that really what he's
4 seeing, too. And so that has been a very, very
5 valuable discussion. And he's -- he's noted
6 that, through multiple reviews and -- and
7 continued development of the SOPs and the
8 quality documents and policy and guidance,
9 there's been tremendous progress made that I
10 think everyone is -- is really very happy
11 about, the reduction of the backlog and the
12 appearance of metrics and so on, very, very
13 positive steps.

14 The double-blind -- the problems with that have
15 been noted. Actually that arose out of
16 conversations in our own subcommittee years ago
17 when dose reconstructions were the principal
18 output rather than the expedited cases. But we
19 -- we see now the morphing of that into
20 becoming, in a way, a new quality instrument.
21 That's the positive side of it.

22 The more negative side of it is that it no
23 longer fulfills narrowly the concepts that gave
24 birth to it, and so we feel we have to push
25 that further. But that shouldn't detract in

1 any way from the fact that SC-1 has already
2 stated that they see great value in it, and we
3 concur in that because it has already, through
4 an analysis -- a lessons-learned analysis, has
5 already identified a whole lot of things that
6 are going to end up improving the program. So
7 that's again a positive step.

8 We also have been sort of chipping away at
9 issues, right from the beginning. Actually
10 even our first meeting we were talking about
11 the importance of establishing program metrics
12 that allow managers of the programs and also
13 boards like VBDR, any successor boards or
14 successor groups, to get a snapshot of what's
15 happening in the program. So that, for
16 example, if you have a variety of metrics like
17 through-put and errors of various types,
18 rejected -- reconstructions in review or
19 whatever, one should see on a -- in a program
20 management sense, that over time those things
21 are -- are disappearing. They're -- they're
22 un-- they're under management control. So when
23 we say something's up and running, we say that
24 a manager at any point -- you could wake them
25 up in the middle of the night and they could

1 show you the metrics that -- that they've been
2 collecting over a period of -- of months and
3 show you that everything is trending in the
4 right direction. Response to the veterans is
5 much more rapid, errors of routing are
6 disappearing, and so on. So that's something
7 that we continue to push.

8 On the other hand, that's not a new
9 recommendation. What we're trying to do is be
10 clearer about -- about past recommendations.
11 In -- in terms of the VA side, in addition to
12 giving -- giving us I think a very good summary
13 this morning about very positive developments
14 that -- Tom mentioned the expanded, the over--
15 overview -- quality over-- reviews, twice the
16 number of cases, looking at consistency across
17 VAROs in the larger sense, inter-rater
18 reliability -- those are all things that on the
19 -- on the -- in the quality management sense
20 are really the basic building blocks, the basis
21 for training and so on. So these are
22 mechanisms for reducing errors and also
23 opportunities to get a handle on the errors
24 that are being made because those are the
25 places where time/money are going to be saved

1 and better -- much better quality and
2 reliability of output is -- is going to be --
3 is going to be demonstrated. So when we say
4 something is up and running, we say that
5 something ought to be demonstrable and easily
6 shown that it's -- that if it's important to
7 through-put and quality, that the managers know
8 where it stands and can show you how and -- how
9 and -- and probably why it's getting better.
10 We note also from Tom's presentation that the -
11 - the Standard Operating Procedure for the --
12 the so-called Otchin post will be -- will be
13 addressed when -- when that new hire is put in
14 place. So in that, plus the fact that we would
15 -- we, as category two, the claims adjudication
16 people, want to see an ongoing, running account
17 of how things are going with respect to
18 quality-related processing in -- in the claims
19 -- in -- in the VARO and so on. Those are the
20 -- are the principal things that we're
21 concerned with now.

22 So we're approaching the point on a lot of
23 fronts where we're -- where we're saying the
24 good things are -- are coming together, but
25 it's still a little bit too early to run up the

1 flag and say it's -- you know, it's -- it's
2 accomplished, but it's certainly in -- in --
3 it's under development. I don't think anyone
4 here would say that -- that it-- that it's not
5 coming together well and that some of the
6 things, particularly when hundreds or even
7 thousands of pages are involved, it takes a
8 while for the documentation to catch up with
9 the -- with the actual process. And then as
10 the collision occurs between day to day
11 running, there's also a need then to look at
12 the documentation to find out where the problem
13 points are so that that work can be completed
14 in -- in secondary and tertiary reviews and so
15 on.

16 So if all of that is -- is happening but it's
17 not yet fairly described as up and running with
18 a good scoreboard behind it, so that as a
19 backdrop, our position with respect to the
20 future of VBDR is really quite consistent with
21 what we've heard so far. We see a basic
22 transition that's underway, and I guess maybe
23 opinions might differ a little bit on the
24 timing of how that would -- of how -- how long
25 would it take for this to -- to be up and

1 running. And for example, in discussing
2 alternatives to VBDR itself, like a smaller
3 board, a -- less frequent meetings and so on,
4 we also expressed or -- or uncovered the
5 concern, maybe some of you knew it right away,
6 but we uncovered that concern that with a much
7 smaller group you run into other problems
8 related to the requirements of FACA, which
9 obviously have to be understood and -- and
10 honored. But it can get in the way of I think
11 the really positive dialogue that operates now
12 quite well within the rules. So we're pleased
13 with that, so maybe there's some -- some way to
14 -- to move into a more -- let's say a less --
15 less frequent meeting mode while still trying
16 to maintain the role of individual
17 subcommittees to make sure that these
18 individual pieces are coming together, and then
19 perhaps looking at these meetings for more of a
20 display of those -- of the scoreboard that
21 really basically is a demonstration that all of
22 the pieces are coming together and working.
23 So that's the way we're coming at it, so our
24 future activities involve trying to look in on
25 some of the -- the -- the changes that have

1 taken place in the program via the new design,
2 to see how that double-blind is working,
3 whether -- whether any new recommendations need
4 to be made there; maybe new understandings on
5 the quality system will come out of that.
6 On the issue of the DSS, which has now gotten a
7 lot of air time, I have the personal concern
8 that it is more of a process or a product. I
9 think of it as a process with a product in the
10 sense that it's -- it -- the -- the documents
11 themselves need to be living in the sense that
12 as we learn and as we change any process, we
13 have to be able to capture the important
14 decisions and communicate those so that quality
15 evaluations can be made, but that the DSSes, at
16 any given flashpoint in the history of the
17 program, will look a lot like a product and
18 then, as time goes on and changes start to
19 diminish, the DSS will look a whole lot like a
20 product and will be -- will basically be a
21 demonstration and a realization that things
22 have -- have settled and that the processes
23 being used by the agencies are -- there's a
24 embedded self-correction.
25 The other thing I would -- I would note in --

1 in closing on the future of VBDR, one can be
2 sort of guided in a way by excellent current
3 treatment and -- and caring that we've seen
4 from the agencies, but if you imagine all the
5 people being replaced and new pressures coming
6 up and new people coming in and not being aware
7 of all of the hard work and so on that -- that
8 went into this, there can be some sort of
9 falling off the wagon, which is an extremely
10 common thing in quality systems, that newcomers
11 who are pressured with new issues don't
12 necessarily pay attention to all of the hard
13 work and they start taking down fences before
14 they appreciate why the fences were put up and
15 so on. So we feel that part of what we do as a
16 -- as a group is to try to make the -- the
17 systems that we're recommending so robust that
18 they -- they act like a flywheel to keep the --
19 keep things going and then, through some
20 advisory channels and so on, and hopefully good
21 communications between current program managers
22 and the people who replace them and any
23 subsequent boards or committees, advisory
24 groups that follow here, would be a device for
25 keeping all that going because it has to be

1 kept going. But that's sort of philosophically
2 where we -- where we come from, and we look
3 forward to the -- to the discussion about the
4 future.

5 **VICE ADMIRAL ZIMBLE:** Thank you very much, Dr.
6 Reimann. Comments? Right, General -- General
7 Manner.

8 **BRIGADIER GENERAL MANNER:** My background also
9 is decision sciences and risk assessment,
10 quality assurance and so on. One of the things
11 I'm concerned about -- first of all, everything
12 you said I completely concur with. I am
13 concerned about one of your closing comments,
14 which was making the systems so robust that
15 they will serve as a flywheel to perpetuate
16 themselves. One of the concerns that I have in
17 government -- I won't speak for the Veterans
18 Administration, but certainly in the military
19 our defense is once there are higher
20 priorities, decisions are made to reshift all
21 of the resources around, independent of the
22 history or the -- the value. And as long as a
23 law was not being broken, those resources are
24 shifted fast. So I'd like to just say to the -
25 - I'm not a member of the Board, but I would

1 just like to encourage the Board to -- some of
2 the suggestions that were made earlier about
3 having some oversight that has, even though
4 perhaps it's a gentleman's hammer, it's still
5 some type of a hammer to oversee the
6 continuation of this, even though the forum --
7 which I'm gleaning from everyone's comments so
8 far -- would not be in the form it is right
9 now, but certainly something I think would be
10 of great value. So I say that purely
11 independently and as a -- as a comment.

12 **DR. REIMANN:** Yeah, just let me comment 'cause
13 -- 'cause you referred to my comments. I've
14 been associated with NIST for 46 years, and
15 very often in the dialogue with external bodies
16 who had no control over us, they were purely
17 advisory, we did much more preparation and had
18 much more direct dialogue about important
19 internal things than we ever had with our own
20 management, regardless of the management. So
21 the -- the managers came and went, but the
22 advisory committees come in and would give us,
23 you know, a -- a good, clear picture of how our
24 technologies compared with the best in industry
25 and where we are serving and where the gaps are

1 and so on. So this is an extremely valuable
2 thing. But this was all conducted at a time
3 when we didn't have the federal advisory
4 committees, and none -- and none of these
5 groups were subject to that. So many of the --
6 the best discussions and by far the best
7 discussions were in the context of informal
8 dialogue. And so I can't say enough good about
9 that, and -- and I think that the gentleman we
10 have now would certainly I think operate well
11 within that, but the replacements might not,
12 particularly with new priorities. We can
13 defend against only so much, but if we've
14 created something, including something that has
15 a numerical picture, you've put the next group
16 in the best possible position to figure out
17 where it stands because all they have to do is
18 record the numbers that are showing up on those
19 things, and if the numbers, you know, stop
20 dropping in terms of defects or increasing --
21 or de-- or time to respond to veterans and so
22 on, if those things start turning around, at
23 least somebody knows it. But you know, I mean
24 we can't play God in this and that -- so this
25 is as -- I think as far as a group can go, but

1 I think it's already been noted very well that
2 when the -- when the advice is no longer of a -
3 - of a basic change type and it's more of a --
4 of an ongoing nature, then the advisory nature
5 in a public sense doesn't make really the same
6 kind of sense as it does when you're -- when
7 you're talking about let's say shifting from
8 largely dose reconstructions on everything to
9 expedited cases. That's something that has to
10 take place in a public setting and -- and go
11 through different channels of review, whereas
12 ongoing improvement is much more advisory --
13 much less costly on everyone. I mean these
14 meetings induce a lot of extra work for the
15 agencies, not just -- it's not just the cost of
16 the Board in the narrow sense. It's what that
17 induces. You're all sitting here talking to us
18 rather than doing other things, so we know
19 that.

20 **VICE ADMIRAL ZIMBLE:** Yeah, I -- the picture I
21 take from -- from your comments is that there
22 needs to be -- said there needs to be a full
23 deployment of a quality system, and -- and I
24 would say that the quality system has got to be
25 a very useful tool to the -- to not only

1 management, but to the people that do the job.
2 And -- and so I can see a role of the -- of the
3 Board or -- or what -- the follow-on to the
4 Board, to be one of mentoring in the
5 institution at the work site to help -- to help
6 build the -- the quality centers, to help
7 establish the metrics that become indispensable
8 and become a part of the normal routine, rather
9 than building a quality system from the top
10 down, which gets big documentation and then
11 which gets filed, and then people go about
12 doing the same thing. It cannot be burdensome
13 to the individuals that are trying to do the
14 work, and the work has to be horizontal across
15 various vertical entities.

16 Also, if it's a system for this process, that
17 system has to bridge two Departments, and it
18 has to -- it has to be a system that is -- that
19 is transparent in terms of the metrics and the
20 quality issues from -- from VA to DTRA and back
21 to VA. That's -- that -- that needs a lot of
22 training. It needs a lot of mentoring. That's
23 -- that's a -- that's a big full-time job that
24 may -- and may require the -- the agencies take
25 on the role of -- of doing the mentoring with -

1 - with some oversight by the Board. But it's a
2 big job and certainly your -- if I understand
3 your recommendation, it's more of the same, an
4 independent entity that can provide oversight.
5 But I would add to oversight and auditing some
6 mentoring capacity for that -- for that entity.
7 And I might as well mention it now rather than
8 wait until the fourth report, that non-FACA is
9 very helpful to getting work done. But non-
10 FACA is difficult to achieve when there is no
11 sunset clause in the legislation and it will
12 require -- if I'm not mistaken, it will require
13 some legislation to relieve us from the FACA
14 requirements. And I would ask our -- the --
15 the Congressional liaison at DTRA and at the VA
16 to at least investigate how -- how readily
17 available such an option would be. It -- it
18 sounds like it's going to go into our
19 recommendations, but the alternative is going
20 to be the -- the Board continues with a
21 restructured mission. And we'll -- we can
22 discuss that further, but I -- I thank you very
23 much for your comments --

24 **DR. REIMANN:** The other thing I just wanted to
25 add, Jim, that one of the bonuses, whether it

1 be -- certainly from VBDR, is acting as a
2 really good bridge between the agencies, where
3 that opportunity to mix and -- and have an
4 informal dialogue is also extremely valuable.
5 So if -- if you move to an advisory structure,
6 I don't think you want to give up that bridging
7 between --

8 **VICE ADMIRAL ZIMBLE:** No.

9 **DR. REIMANN:** -- the agency 'cause that's
10 extremely healthy.

11 **VICE ADMIRAL ZIMBLE:** I also -- I also made a
12 note you -- you see a -- a good reason to keep
13 the committees and -- and I'm starting to get a
14 sense of that because it's the -- the expertise
15 for the oversight is quite varied from one
16 committee to another, so you -- I've got that
17 noted so when we have our discussions to come
18 up with our formal recommendations it'll be --
19 Dr. Boice?

20 **DR. BOICE:** Yes, if I could have just a
21 clarification for my own understanding because
22 I was just a ti-- little bit confused, Admiral,
23 and then General Manner, and I'm -- it's the
24 value of being a FACA committee and a non-FACA
25 committee. Is there a special value by being a

1 FACA committee that the recommendations and
2 such handle more teeth and there actually is
3 sort of a requirement to meet and to consider
4 the recommendations and to continue on? I --
5 it was -- it was a little bit -- you know,
6 everyone is very busy, and I know the veterans,
7 and 800,000 processes a year and a war going
8 on, you know, there's a lot of other demands,
9 as the General mentioned on priorities. Does --
10 -- and I think we've all in the agreement that
11 we -- we see a need for a continuation in some
12 fashion, and my question is -- it's a simple
13 one, I think -- it's just I don't quite
14 understand, is there an advantage to continuing
15 to being a FACA committee or really not an
16 advantage what -- what's -- at all and being a
17 non-FACA would allow this continuation in a
18 fashion that's being suggested.

19 **VICE ADMIRAL ZIMBLE:** General Manner I think
20 would like to answer that.

21 **BRIGADIER GENERAL MANNER:** Well, this is always
22 one of those questions that in the military
23 we're trained that if we don't know the answer,
24 we say I'll find out and I'll get back to you,
25 which is exactly why I've been doing hand and

1 arm signals back there to Mr. Wright 'cause I
2 have not yet gone through my Designated Federal
3 Officer training formally. I've only gotten
4 the orientations. So that's on our agenda to -
5 - as a task to us in that capacity to determine
6 what are the various options and alternatives,
7 and what are the pros and the cons, and we will
8 then -- we'll put that together and then get
9 that back.

10 **VICE ADMIRAL ZIMBLE:** Okay. We -- we can hold
11 off on qualifying the entity as FACA or non-
12 FACA. That -- that's a -- that's a very good
13 point. I -- I see the disadvantages. I -- I
14 have yet to see the advantages, so -- so if
15 there are any, I'm looking forward to hearing
16 about them.

17 Dr. Lathrop.

18 **DR. LATHROP:** Just -- just a quick note. I
19 think some of the audience could be forgiven
20 for deciding that a lot of what we're saying
21 here are good intentions and gee, this would be
22 nice if. I think one of my favorite minutes on
23 this Board was, while meeting with -- a meeting
24 that included John Stiver of the SAIC crew
25 doing -- doing the work when he actually said,

1 and this gladdened my heart -- and Curt, I want
2 you to listen -- these Decision Summary Sheets
3 are really helping my management. Perfect.
4 Couldn't have said it better. And that's what
5 we should be after, not burden, but actually
6 helping the management.

7 **VICE ADMIRAL ZIMBLE:** Okay. All right, I would
8 ask now that the Board accept the report from
9 Subcommittee 3.

10 (Affirmative responses)

11 Okay. Okay, no objections?

12 (No responses)

A REPORT FROM SUBCOMMITTEE 4 ON COMMUNICATION AND

13 **OUTREACH**

14 We'll move on to Subcommittee 4, Mr. Groves.

15 **MR. GROVES:** Thank you, Mr. Chair. I want to
16 preface the remarks that you have in -- in
17 front of you in that there have been some
18 changes made based on the information we
19 received this morning from Mr. Pamperin and the
20 follow-on discussion. And I want to tell you,
21 Tom, we appreciated both the discussion last
22 night and this morning about what some of the
23 options are, and I will discuss with you how
24 we've changed the words accordingly.

25 We wanted to remind everybody in our minutes

1 that we had had eight meetings and that they
2 have been in a number of locations around the
3 country, designed specifically to encourage
4 veteran participation. And I think we would
5 have loved to have seen a full house at every
6 location, but I think we -- we did get input
7 from -- from the veterans. I think it
8 certainly demonstrates our willingness to take
9 our meetings out to where at least the option
10 was there for -- for folks in the local
11 vicinities to come visit us.

12 We have kept a number of records, mainly at the
13 NCRP office that supports us, and the numbers
14 are not as important as the fact that we've had
15 a -- a very active communication with veterans
16 who have called many times directly to the
17 VBDR, and of course we help them where we can.
18 But our main help to them is to get them in
19 touch with the appropriate person, either at
20 the VA or at the NTPR program, to answer their
21 questions. And I think it's fair to say, and
22 I'm looking for Isaf, that no -- no question,
23 either by mail, by phone or e-mail, has gone
24 unanswered. So thank you very much for -- for
25 that support.

1 Our subcommittee, because of some funding
2 issues, has not been able to meet in person
3 since our last meeting in San Diego, but we
4 have had two very good conference calls, one in
5 July -- one in June and one in July. And I
6 would like to say that after our meeting we are
7 considering publishing an article -- in fact
8 there is one essentially waiting to be
9 published in the Ionizing Radiation Review at
10 its -- at its next printing.

11 We wanted to revisit the discussion of this
12 proactive outreach by VA and DTRA to the atomic
13 veterans who may be unaware of their
14 eligibility for benefits. And again -- and I
15 will discuss this more in just a moment, we --
16 we think this is an important effort and -- and
17 I think that we now have our path forward with
18 the information that we got from Tom this
19 morning. We recognize that there is the
20 potential for considerable resources. As we've
21 said all along, we've looked at a -- not having
22 to do this all or none, but rather to even
23 pilot a part of the program to see what the
24 response would be as a way to kind of predict
25 what we might have to do to ramp up. I'm going

1 to add the fact that we were very encouraged by
2 the options that were offered by the VA and
3 supported by DTRA for a selective and tiered
4 outreach effort, and I think we have defined
5 some of the cohorts that we would, you know,
6 move to the front of the line for this. And I
7 think that that is very satisfying to us on our
8 communication and outreach subcommittee, and I
9 think to the Board as a whole, based on the
10 comments I heard this morning.

11 We have -- we're continuing in our process to
12 review and advise concerning letters that both
13 the VA and NTPR send to the atomic veteran
14 claimants. SC-4 has made and will continue to
15 provide input for clearly communicating to the
16 veterans his option -- to the veteran his
17 options for making a claim and managing his
18 expectations, which is an important thing that
19 we feel and has been one of the efforts we've
20 made to both organizations and -- and we've
21 discussed it here again this afternoon. We --
22 we have -- we encourage and we certainly see
23 this coming to fruition that both of the
24 agencies are ensuring, wherever possible when
25 they're talking about the same thing, that we

1 say it in a consistent manner so as not to con-
2 - confuse the veterans.

3 We have in the past been actively participating
4 with both DTRA and the VA on communication and
5 outreach-related efforts. We had an excellent
6 meeting yesterday with a representative from
7 the DTRA public affairs office and from the
8 editor of the Ionizing Radiation Review
9 newsletter from VA. And I think we all agreed
10 that the tripartite nature of those two
11 organizations and our Board in reviewing and
12 collaborating all outreach and communication
13 issues will really benefit the process, and we
14 seem to have a commitment from both of the
15 agencies as well as from the -- the
16 subcommittee. And then of course we have the
17 support from Elaine -- you're still there --
18 right, Elaine? Well, from Elaine Vaughan, who
19 -- those of you that -- some of you may not
20 know, she was originally a member of the
21 committee and, for health reasons, had to drop
22 off, but we've been very fortunate in being
23 able to retrieve her in the form of a
24 consultant to help us with risk communication
25 and other communication-related issues.

1 So as far as our subcommittee is concerned in,
2 you know, where do we go next, I think that we
3 feel that -- as many of the other subcommittees
4 do -- that a lot of the recommendations have
5 been made and we're not making any new
6 recommendations here. We have a couple, as Tom
7 discussed this morning, that are not complete
8 regarding communications and outreach, but they
9 are being worked jointly and that's -- I think
10 that's where we need to be.

11 I do see the need for a continued monitoring
12 and support of the outreach effort, and I don't
13 know that that has to be a FACA or a non-FACA,
14 but rather just some mechanism to do that and -
15 - and continue to work together on these
16 outreach efforts.

17 **VICE ADMIRAL ZIMBLE:** Okay, any comments?

18 **COLONEL TAYLOR:** Ed Taylor, can I make a
19 comment?

20 **VICE ADMIRAL ZIMBLE:** There is -- I see some
21 significant similarity in the recommendations
22 from the four com-- four committee chairs
23 regarding ongoing surveillance, et cetera.
24 All of those in favor of accepting the report

25 **COLONEL TAYLOR:** Before we -- before we --

1 **VICE ADMIRAL ZIMBLE:** Any opposed?

2 **COLONEL TAYLOR:** -- (unintelligible) make a
3 comment?

4 **VICE ADMIRAL ZIMBLE:** Seeing none, the report
5 is accepted.

6 **MR. WRIGHT:** Colonel Taylor is on the -- on the
7 phone line.

8 **VICE ADMIRAL ZIMBLE:** I think -- pardon me?

9 **MR. WRIGHT:** Colonel Taylor is on the -- on the
10 speaker. I think he's trying to make a comment
11 --

12 **VICE ADMIRAL ZIMBLE:** Oh, Colonel Taylor?

13 **COLONEL TAYLOR:** Yes.

14 **VICE ADMIRAL ZIMBLE:** How are you feeling?

15 **COLONEL TAYLOR:** I'm doing fine, I just wanted
16 to make a comment, particularly to Kenneth
17 Groves in that I thought he did a very complete
18 and succinct report on the activities of the
19 committee as I have seen it from several
20 directions -- one, as a member of the Board;
21 and two, as an atomic veteran; and three, as a
22 very interested individual in trying to be fair
23 and open about this process we're doing. And I
24 think it's important that we keep that in mind,
25 and I think he's right on track with that and I

1 wanted to leave that comment with you. Thank
2 you.

3 **VICE ADMIRAL ZIMBLE:** Thank you very much, Ed,
4 and I trust you stay well and healthy.

5 **COLONEL TAYLOR:** I'm working on it.

6 **VICE ADMIRAL ZIMBLE:** Okay. Thanks again.

7 **COLONEL TAYLOR:** All right.

8 **VICE ADMIRAL ZIMBLE:** Now I see by the clock
9 that -- and I see some people breaking out in a
10 sweat -- you'd like to take a break. All
11 right? We are now adjourned for a 15-minute
12 break.

13 (Whereupon, a recess was taken from 3:30 p.m.
14 to 4:04 p.m.)

15 **VICE ADMIRAL ZIMBLE:** Ladies and gentlemen, we
16 need to get started. I want to bring up one
17 piece of business that has come before all of
18 us via a letter from a Mr. Cafarelli*. We all
19 received this letter. The letter needs to be
20 put into the record as -- as testimony. It
21 will not be published but it will be filed
22 along with other testimony. This -- I don't
23 know whether you've seen this.

24 **UNIDENTIFIED:** I have not, no.

25 **VICE ADMIRAL ZIMBLE:** Mr. Cafarelli is angry,

1 and Mr. Cafarelli writes that he's not been
2 dealt with fairly regarding a -- a radiation-
3 related claim. I -- I've asked Mr. Pamperin --
4 you've all -- each one of you have gotten a
5 copy -- has anybody not gotten a copy of his
6 letter? Everybody's gotten a copy, okay. I've
7 -- I've -- Dr. -- Mr. Pamperin has volunteered
8 to -- to have VA respond to this letter. He's
9 got a misunderstanding regarding dosages. He's
10 confusing I think rems with millirems or
11 roentgens with millirems. So we'll send a
12 letter back to him. Any comments or questions?
13 Oh, yes.

14 **DR. BLAKE:** I -- I'd just like to comment on
15 Mr. Cafarello*'s case, more from a general
16 viewpoint than I think -- specifics aren't
17 appropriate, but this once again deals with the
18 communication issue we discussed between
19 expedited doses and actual doses. He received
20 originally an actual dose estimate that was --
21 is very small based on what -- and -- and he
22 reports that in his letter. Later on, based on
23 the expedited doses, we provided expedited
24 doses both on skin and cataract. In both cases
25 those would typically be service-connected, so

1 from our viewpoint at the agency, everything
2 that he's filed for, he's received doses that
3 would be service connected. What the -- but I
4 think he's still frustrated in that he sees
5 values of rem that seem different, and perhaps
6 that's a communication issue. But I -- I will
7 tell you, and I won't speak directly about Mr.
8 Cafarello, but in some of these veterans' cases
9 we've been on the phone with them for ten to 20
10 separate times over the last few years,
11 there've been Congressional inquiries, there've
12 been many letters back and forth to the agency,
13 we have lots and lots of discussions. In some
14 cases I don't think we're ever going to resolve
15 the problem with the veteran. So -- but in
16 this case, he -- he indicates he -- and if you
17 read the letter, you'll see the actual doses we
18 reported, but the ones that -- for -- he came
19 in for with organs were all under -- eventually
20 became expedited organ doses that were service
21 connected. So I -- I don't think we can do
22 much more from an agency viewpoint.

23 Yes, Dr. Fleming?

24 **DR. FLEMING:** Well, just a clarification. If
25 he's receiving -- if he received a RECA

1 payment, he had to have had a presumptive
2 cancer. I mean you don't -- you don't get a
3 RECA payment for a non-presumptive. So I just
4 want to sh-- mention that. I don't know where
5 else to go with that except that there's also
6 the fact that we know that a RECA -- that VA
7 payments are offset by RECA, so were he to be
8 eligible, he would not be receiving benefits
9 until that offset was finished.

10 **MR. PAMPERIN:** Obviously under the Privacy Act
11 we can't discuss specifically the -- the
12 specific disabilities that an individual has
13 and why they're being compensated. We can --
14 we can release -- any citizen is entitled to
15 know how much disa-- disability payment is
16 being received from VA; they just don't have a
17 right to know why. In -- in this particular
18 case, since -- since the ent-- any citizen has
19 a right to know the amount, he is service
20 connected at the 70 percent disability level.
21 He -- he has a -- a condition that would
22 qualify for a RECA payment. RECA payments are
23 not always recouped. If the -- if a disability
24 has been granted service connection on a
25 presumptive basis and a RECA payment is also

1 made, we must recoup the RECA payment.

2 However, if the condition for which a RECA
3 payment is made was granted service connection
4 on a direct basis -- for example, the condition
5 developed while on active duty -- the only real
6 issue we have or care about is one of two. If
7 a person is very, very early in their service -
8 - basic training or something like that -- we
9 will look at whether or not this is a pre-
10 existing condition that merely manifested --
11 but absent that, the only thing we care about
12 is that the condition was -- was incurred in
13 line of duty; i.e., if you have a broken back
14 becau-- you know, the only reason we would not
15 pay you is if the reason you have a broken --
16 broken back is because you got in an automobile
17 accident while you were drunk. That's a
18 willful misconduct thing; we wouldn't pay. But
19 as long as it's a line of duty issue and the
20 person develops it during service, we would
21 compensate on a direct basis. Now if -- in
22 another day, under another program -- somebody
23 can qualify under a presumptive basis, then
24 they can get both benefits without offset.

25 **DR. VAUGHAN:** If I can make a comment?

1 **VICE ADMIRAL ZIMBLE:** Okay, any further com--

2 **MR. WRIGHT:** You've got Elaine Vaughan on the -
3 - on the speaker.

4 **VICE ADMIRAL ZIMBLE:** Pardon me?

5 **MR. WRIGHT:** You've got Dr. Vaughan on the
6 speaker --

7 **VICE ADMIRAL ZIMBLE:** Oh, Dr. Vaughan.

8 **DR. VAUGHAN:** Yes, just a comment, I -- I think
9 that this case brings up very important
10 principles about the basis of outrage and the
11 specifics of a dose estimate may not be as
12 important as the basis of outrage, which can
13 include the process issues, does this person
14 feel that he has been treated fairly. And if
15 not, there are things you can do in
16 communicating in that final letter, to him or
17 to anyone in this kind of outrage category,
18 that could increase the chances that a person
19 will feel satisfied. But a person has to feel
20 that he or she is being treated fairly, and
21 that's usually one of the main components of
22 outrage, regardless of the decision or the
23 specifics of the case. So I just wanted to
24 throw that in. It's a very important area for
25 effective risk communications.

1 **VICE ADMIRAL ZIMBLE:** Yeah, thank you very
2 much, Elaine. We really appreciate that.
3 Outrage from not being treated fairly and
4 outrage from being totally ignored --

5 **DR. VAUGHAN:** Yes.

6 **VICE ADMIRAL ZIMBLE:** -- are two areas that
7 need to be addressed, so he does deserve an
8 answer to his letter and -- and I'm going to
9 ask Mr. Pamperin to make sure that it's -- it's
10 well-understood -- as -- as best we can, explain
11 that he was treated fairly.

12 **DR. VAUGHAN:** Yes.

13 **VICE ADMIRAL ZIMBLE:** Okay. Thank you very
14 much, Elaine. Paul Voillequé?

15 **MR. VOILLEQUÉ:** I was just going to comment
16 that -- that what really struck me about this,
17 and it's probably because we had -- we had been
18 discussing this in the context of our
19 subcommittee -- is that he seems not to
20 understand the distinction between the
21 expedited doses and -- and the other doses. I
22 mean he seems -- you know, and it may be the
23 way he presents this information, I don't -- I
24 don't really know, but he seems to be saying,
25 you know, if I shouted long enough, I finally

1 got them to give me some revised doses, which
2 are much bigger than the doses that were
3 previously assigned. And so it just brought up
4 in my mind the importance of making the
5 distinction that we had talked about earlier.

6 **VICE ADMIRAL ZIMBLE:** Absolutely. Okay, I -- I
7 have been given some -- several people have
8 made some recommendations to me, given me some
9 advice, that they would -- they would like to
10 see this meeting adjourned at 4:30, especially
11 since there's -- we still have a half-day that
12 we can devote to formalizing our
13 recommendations to go forward. And I can -- I
14 can -- I can accede to that request, but I
15 would ask, before we adjourn, that we turn to
16 task seven and -- and look at a current
17 charter. I think I would like everybody to
18 refresh their memory as to what's -- what's in
19 the charter and what specifically we have -- we
20 have been mandated to do. We have a fair
21 amount of license since we can carry out other
22 activities. That -- that's helpful, as long as
23 they are -- as -- as long as they are specified
24 jointly by the VA and DTRA. So we can make a
25 recommendation that can modify these -- these

1 missions accordingly, and if we get the
2 approval of the two agencies, we're -- we're
3 one step ahead of the game.

4 Yes, Eric?

5 **MR. WRIGHT:** Mr. Chairman, if I may, I'd just
6 like the Board to (electronic interference)
7 that in the -- paragraph (b) and (c), those are
8 both -- those are both taken directly out of
9 Public Law 108, so what it says specifically
10 the Board shall do and the Board membership,
11 those two paragraphs are directly out of Public
12 Law 108. And -- this is going to limit what
13 the Board can do, initially -- those are
14 statutes, by the way, so in order to change
15 those, you'd have to change the statute.

16 **VICE ADMIRAL ZIMBLE:** Don't have to change
17 them, just -- this -- we can just reinterpret
18 them.

19 **MR. WRIGHT:** Well, let me -- let me also say
20 that --

21 **VICE ADMIRAL ZIMBLE:** Trust me, it's not a
22 problem.

23 **MR. WRIGHT:** Let me also say that -- just for
24 clarification purposes -- paragraph (e) that
25 talks about duration and termination of the

1 Board, the char-- all this renewal charter does
2 is allows the Board to operate -- to meet. If
3 you don't have a charter, you cannot meet.

4 **VICE ADMIRAL ZIMBLE:** Right.

5 **MR. WRIGHT:** It doesn't have anything to do
6 with the duration of the Board. The duration
7 of the Board is by statute.

8 **VICE ADMIRAL ZIMBLE:** Okay.

9 **MR. WRIGHT:** That's all I --

10 **VICE ADMIRAL ZIMBLE:** Thank you very much,
11 Eric, appreciate that help.
12 But any -- we have to -- Dr. Swenson, go ahead.
13 Go ahead.

14 **DR. SWENSON:** I wanted to throw another option
15 out there, and this was based on what Dr.
16 Zimble mentioned yesterday from talking to the
17 Veteran Affairs Committee staffer, from what
18 Mr. Beck mentioned yesterday, and also from
19 talking to Eric Wright about benefit of a FACA.
20 This is just another option that we could throw
21 on the table is maybe to downsize the FACA,
22 meet -- still meet the requirements. You could
23 probably have maybe six members, and then you'd
24 have a group of consultants that would really
25 do most of the work.

1 The benefit I guess would -- of the FACA would
2 be that it is still public and our veterans
3 would feel maybe still part of the process.
4 Whether they attend or not, they are, you know,
5 still part of the process. You would be
6 meeting the statutes of the law. The FACA
7 would -- obviously with six members, would have
8 a very hard time getting together and working
9 on anything. However, if you had let's say a
10 dose reconstruction person on the FACA, which
11 is required, they could then meet with the
12 consultants who are on dose reconstruction and
13 you would not have issues with the FACA rules.
14 So it's just another option, and -- so I just
15 wanted to mention that.

16 **VICE ADMIRAL ZIMBLE:** That's good. That's --
17 and -- and these are the things about which I
18 would like you to ruminate overnight and we can
19 discuss them tomorrow as we try to formulate a
20 -- a formal recommendation. But I think a -- I
21 think it's important to -- to recognize -- you
22 know, six may be way too small, but if you look
23 at what the Board membership is mandated to be,
24 we do have a fair amount of license. And if
25 you'll notice that we can make recommendations

1 on the modification of the mission and -- and
2 procedures as -- that we consider to be
3 appropriate. So we can -- we can recommend
4 modifications, and we can carry on such other
5 activities and tho-- those two specifics allow
6 us to, I think, go forward with a -- with a --
7 with some restructuring of the committee.
8 General Manner.

9 **BRIGADIER GENERAL MANNER:** I don't mean to put
10 Eric on the spot, but I'm going to do it
11 anyway.

12 **UNIDENTIFIED:** But you will.

13 **BRIGADIER GENERAL MANNER:** Yes. Eric, is there
14 any chance that overnight, before we convene
15 tomorrow morning at 9:00 o'clock, that you
16 could propose three or four -- not
17 recommendations, but three or four courses of
18 action for the committee to consider tomorrow,
19 with the proviso that -- because we wouldn't
20 have time to do a complete legal review -- that
21 if the committee chose any one of those
22 options, it would be with the full
23 understanding it would be subject to a legal
24 review and verification that that was
25 legitimate? Could you do that?

1 **MR. WRIGHT:** I could provide you my best -- my
2 best input. I -- I've gone through the -- the
3 Federal Advisory Committee Act training and
4 that doesn't necessarily make me an expert on
5 everything and there may be some things that
6 the General Counsel will need to -- to review.
7 You know, the intent of -- of the FACA law,
8 from the courses that I've attended, is -- is
9 to really bring the public into these
10 discussions and -- and so the -- there's a
11 desire for greater transparency, that they
12 understand how -- and certainly in this
13 circumstance it affects people who are out
14 there in the public -- their understanding of
15 why some decisions and recommendations are
16 made. So that's -- that's the intent.
17 I can give you the benefit of my experience and
18 -- and provide some recommendations. I don't
19 think there's going to be any great
20 breakthroughs. I think you can operate under
21 the current guides of the charter. One of the
22 things -- I'm a little sensitive about changing
23 the charter because we're right now in a
24 position where there's going to be presidential
25 transition teams that are going to start moving

1 into the Executive Branch, and trying to get a
2 charter through in November, which is -- the
3 charter has to be renewed by the end of
4 November --

5 **BRIGADIER GENERAL MANNER:** Okay, let me -- let
6 me cut you off for a moment. How about if you
7 just do three or four courses of action
8 overnight that the Chairman would permit you to
9 present early on, and using the dragnet
10 approach of just the facts, ma'am -- they're
11 not recommendations; they're just courses of
12 action -- and we would have right on the slide,
13 one single slide perhaps, or even verbal, that
14 this would be subject to a legal review. Even
15 if the committee chose to further pursue it, it
16 would be in full understanding that we'd have
17 to make sure that all the I's were dotted and
18 T's were crossed.

19 **MR. WRIGHT:** Yes.

20 **BRIGADIER GENERAL MANNER:** So in that way, if
21 there was -- you would be able to -- it's your
22 best educated judgment, but not constituting a
23 General Counsel review.

24 **MR. WRIGHT:** Yes, sir, there's a --
25 unfortunately, this problem can't be bounded

1 just by FACA. There's also a legislative piece
2 to it, so Legislative Affairs I think is also a
3 part of this process. So you know, I -- we're
4 trying to set a boundary around this, but it's
5 going to involve interactions between different
6 parts.

7 **BRIGADIER GENERAL MANNER:** Okay. In that case
8 then, as the DFO, Mr. Chairman, I'd like to
9 suggest that tomorrow morning there be a brief
10 discussion or presentation as a foundation for
11 further discussion, and that we'll just do the
12 best we can overnight with that proviso, that
13 it would take more research to validate
14 whatever course of action you may choose.

15 **VICE ADMIRAL ZIMBLE:** I -- that's -- I think
16 that's a -- perfectly acceptable to this Board.
17 I -- I don't see any -- any areas where there'd
18 be any -- any problem with that and I -- I
19 think that is the prudent way to go. Basically
20 all we can do right now is come up with
21 recommendations that go to the two agencies and
22 the two agencies will have lots of opportunity
23 to -- to come back to us with either a yes, a
24 no, or a modification. So I -- but I -- I
25 would appreciate looking at some various

1 courses of actions that could be taken, and so
2 I think that would be very helpful.

3 Okay. Thank you. Paul?

4 **MR. VOILLEQUÉ:** Yes, on the assumption that --
5 that you were referring to item four where it
6 says the Board shall make modification --
7 recommendations on modifications, I think it's
8 necessary to read the whole sentence.

9 **VICE ADMIRAL ZIMBLE:** I -- yes, I --

10 **MR. VOILLEQUÉ:** On -- on -- to the --

11 **VICE ADMIRAL ZIMBLE:** I really hate it when you
12 do that.

13 **MR. VOILLEQUÉ:** -- missions -- to the missions
14 and procedures of the dose reconstruction
15 program.

16 **VICE ADMIRAL ZIMBLE:** Thank you very much. I -
17 - once I made that statement, I realized that
18 it was referring to something different and --
19 but -- but I -- as a -- I -- I see that you're
20 probably a card-carrying member of the Word
21 Watcher's Society here in Washington, but thank
22 you.

23 Dr. Lathrop.

24 **DR. LATHROP:** The irritation is beginning to
25 show. Well, I'll take it and run with it. I'm

1 a decision analyst, so I'm professionally
2 obligated to list the criteria that we might
3 consider -- in my humble opinion, that we might
4 consider in terms of the group. I mean I've
5 just assembled this from the discussions today.
6 There's only six, which is quite a short list
7 for me.

8 First one, ability to obtain the necessary
9 information from an interaction with the two
10 agencies, and here I can't emphasize too
11 strongly how much we owe to Paul Blake and Tom
12 Pamperin in terms of that. I mean we just talk
13 to them and they get the information for us.
14 Now one of these days Tom or Paul is going to
15 get hit by a truck and we've got to figure out
16 ways to -- you know, I don't wish for that.

17 **VICE ADMIRAL ZIMBLE:** Be service connected.

18 **DR. LATHROP:** Service connected, right.

19 **MR. GROVES:** Maybe they will just retire or
20 something.

21 **DR. LATHROP:** No -- okay, a military trial,
22 right, right, right, right.

23 So yeah, the ability to actually get
24 information from the agencies.

25 Expertise on Board or via the consultants. As

1 we've said, you know -- not me, I'm just a
2 decision analyst -- but the expertise here in
3 dose reconstruction and -- and ethics and the
4 agencies and QA and veterans is really -- it
5 takes me aback. And you know, it's non-trivial
6 to have a follow-on group which had that --
7 that expertise, although could have it in the
8 consultants, there you go.

9 Organizational will be to pursue VBDR's
10 mission. I have, and maybe a lot of us have,
11 been parts of groups where it's a working group
12 and sometimes there's not a fire in the belly.
13 And I think this sort of thing needs a fire in
14 the belly.

15 Ability -- this might be the hardest one.

16 Ability to have recommendations be complied
17 with by the two agencies. Complied with -- you
18 know, this is all careful. We're an advisory
19 board; we can't order anybody to open a door.
20 Okay? But we do, in order to fulfill the
21 mission of VBDR and Public Law 108 and so forth
22 and so on, we -- we need to think about a
23 follow-on group that will receive appropriate
24 attention from the two agencies.

25 Then, by the way, funding.

1 And then, by the way -- we've mentioned this --
2 the ability to meet effectively. And Kristen
3 was one of the ones who pointed out, gee, if
4 you cut it down to six and it's FACA, you know,
5 you can't have subcommittees among the six, any
6 -- you can't -- you can't have three of them
7 meet without full FACA sunshine and so forth
8 and so on.

9 And you know, these are just things to think
10 about: Ability to obtain the information,
11 expertise on Board or with consultants,
12 organizational will to pursue the mission,
13 ability to have recommendations paid attention
14 to at an appropriate level, funding and just
15 the mechanics of meeting. That's all.

16 **VICE ADMIRAL ZIMBLE:** Okay. Those are -- those
17 are excellent points and the point specifically
18 about institutional mem-- or Board memory and
19 fire in the belly sort of has an intimation,
20 it's relatively implicit, that you're talking
21 about a continuation of the Board rather than
22 some new entity come in.

23 **DR. LATHROP:** I didn't say that.

24 **VICE ADMIRAL ZIMBLE:** No, you didn't.

25 **DR. LATHROP:** I just laid out the criteria;

1 that's all I did.

2 **VICE ADMIRAL ZIMBLE:** You didn't say it, but it
3 -- but it came through loud and clear, you see.
4 At any rate, that's an -- that's an excellent
5 point. Okay? And -- and although we may -- we
6 -- we can certainly look that within the
7 mandate, within the legislative mandate, within
8 the charter, we can certainly reduce the
9 membership -- okay? -- not to an extreme where
10 we no longer can function as a committee, but
11 we can reduce the membership still so that --
12 so that the budget can go a little bit further.
13 And I think that -- that's worth considering.
14 But I think -- this is not the time to do that.
15 This is the time to come together and make a
16 recommendation that says what do we do now that
17 we really feel we have no further
18 recommendations to make but we need to see
19 follow-through on the recommendations that have
20 been accepted. Right? Okay.

21 **DR. LATHROP:** But let me just point out, I
22 haven't heard a formal vote among the Board to
23 agree with this sentence you just made.

24 **VICE ADMIRAL ZIMBLE:** I thought we would hold
25 that off until tomorrow. I want to allow for

1 some more rumination. Okay?

2 But do I hear a motion to adjourn?

3 **MR. PAMPERIN:** Motion.

4 **DR. LATHROP:** That was quick. That was the
5 quickest motion all day. Fastest I've seen Tom
6 move all day.

7 **VICE ADMIRAL ZIMBLE:** Do I hear a second?

8 **MR. GROVES:** Second.

9 **VICE ADMIRAL ZIMBLE:** Okay, we are adjourned.
10 Thank you. Have a good evening.

11 (Whereupon, the meeting adjourned at 4:30 p.m.)

12

1

C E R T I F I C A T E O F C O U R T R E P O R T E R**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of Sept. 10, 2008; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 10th day of Oct., 2008.

Steven Ray Green, CCR

STEVEN RAY GREEN, CCR, CVR-CM**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**