

THE VETERANS' ADVISORY BOARD ON DOSE RECONSTRUCTION

MEETING II

DAY TWO

The verbatim transcript of the Meeting of the
Veterans' Advisory Board on Dose Reconstruction held
at the Sheraton Gateway Hotel, Los Angeles,
California, on January 13, 2006.

C O N T E N T S

January 13, 2006

REVIEW AND BOARD APPROVAL OF REVISED SCOPE OF WORK OF SUBCOMMITTEE ON DTRA DOSE RECONSTRUCTION PROCEDURES AND SUBCOMMITTEE ON COMMUNICATION AND OUTREACH	7
A REPORT FROM SUBCOMMITTEE ON DTRA DOSE RECONSTRUCTION PROCEDURES MR. HAROLD BECK	7
A REPORT FROM SUBCOMMITTEE ON VA CLAIMS ADJUDICATION PROCEDURES DR. RONALD BLANCK	59
A REPORT FROM SUBCOMMITTEE ON QUALITY MANAGEMENT AND VA PROCESS INTEGRATION WITH DTRA NUCLEAR TEST PERSONNEL REVIEW PROGRAM DR. CURT REIMANN	80
A REPORT FROM SUBCOMMITTEE ON COMMUNICATION AND OUTREACH MR. KENNETH GROVES	93
BOARD MEMBERS QUESTIONS AND DISCUSSION	102
PUBLIC COMMENT SESSION	121
BOARD MEMBERS QUESTIONS AND DISCUSSION	164
COURT REPORTER'S CERTIFICATE	196

TRANSCRIPT LEGEND

The following transcript contains quoted material.

Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an exact (sometimes incorrect) usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

In the following transcript (off microphone) refers to microphone malfunction or speaker's neglect to depress "on" button.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

ADVISORY BOARD MEMBERS

CHAIR

ZIMBLE, VICE ADMIRAL JAMES A., M.D.
VADM, USN (Ret.)

DESIGNATED FEDERAL OFFICER

FAIRCLOTH, RONNIE
DTRA

DEPUTY DIRECTOR

WRIGHT, ERIC
DTRA

MEMBERSHIP

BECK, HAROLD L.

BLAKE, DR. PAUL K., PH.D., CHP
DTRA

BLANCK, DR. RONALD RAY, D.O.
UNIVERSITY OF NORTH TEXAS HEALTH SCIENCE CENTER

BOICE, JOHN DUNNING, JR., SC.D.
INTERNATIONAL EPIDEMIOLOGY INSTITUTE

GROVES, KENNETH L., CDR, MSC, USN (Ret.)

LATHROP, JOHN, PH.D.
LAWRENCE LIVERMORE NATIONAL LABORATORY

MCCURDY, DAVID E., PH.D.

PAMPERIN, THOMAS J., MBA
VA

REIMANN, CURT W., PH.D.
NIST

SWENSON, KRISTIN, PH.D.
RADAMERICA, INC.

TAYLOR, GEORGE EDWIN, COL. USA (Ret.)

VAUGHAN, ELAINE, PH.D.
UNIV. OF CALIFORNIA

VOILLEQUE, PAUL G.
MJP RISK ASSESSMENT, INC.

ZEMAN, GARY H, SC.D., CHP, CDR, MSC
LAWRENCE BERKELEY NATIONAL LABORATORY

AUDIENCE PARTICIPANTS

ALGERT, DAVID, DTRA
AL-NABULSI, ISAF, VBDR
BANKSTON, JOHN
BERONJA, GREG, SC&A
BRADY, TERRY T, RADIATED VET. OF AMERICA
BUMGARNER, ROBERT L., SAIC/NTPR
CLARK, C.L., RADIATED VETERANS
DUDLEY, MARTIN S., AUX
DURAND, SHARI, DTRA
FISCHER, KARL W., DTRA
FLEMING, PATRICIA, CREIGHTON UNIVERSITY
GARCIA, CONI
HEISTER, MELANIE, NCRP
HOUSTON, SENOTH
KOCHER, DAVID, SENES OAK RIDGE
LEWIS, BLANE, DTRA
MEJIA, NELSON, GLA/VA
MELANSON, MARK, NCRP
RITTER, R.J., NAAV, INC.
SCHULTZ, JIM, VARO LA
SMITH, DJ, CIV, INC.
SMITH, IRENE, DTRA
TEAGUE, CARLOTTA, NCRP
TENFORDE, THOMAS S., NCRP
TOOHEY, RICHARD E., ORAU
WYANT, CLYDE, NAAV, INC.

P R O C E E D I N G S

(9:00 a.m.)

1

2

3

4

5

VICE ADMIRAL ZIMBLE: Ladies and gentlemen, the hour is upon us. We want to start promptly so that we can end promptly.

6

7

8

The first item on the agenda, as I promised yesterday, was to ask Dr. Vaughan for her comments. We've had a discussion this morning. Most of her comments are related to the various reports, and she has -- she's willing to hold off on making comments until after the report has been given.

12

13

REVIEW AND BOARD APPROVAL OF REVISED SCOPE OF WORK
OF SUBCOMMITTEE ON DTRA DOSE RECONSTRUCTION PROCEDURES
AND SUBCOMMITTEE ON COMMUNICATION AND OUTREACH

14

15

16

17

18

19

20

21

22

23

So we will now begin the agenda item, which is to review the revised scope of work of the Subcommittee on Dose Reconstruction Procedures, Subcommittee Number 1; and the Subcommittee on Communication and Outreach, Subcommittee Number 4. And I'm going to ask the chairman of Subcommittee Number 1, Dr. (sic) Beck, to discuss his proposed revision of the scope of work.

Dr. (sic) Beck.

1

**A REPORT FROM SUBCOMMITTEE ON DTRA DOSE RECONSTRUCTION
PROCEDURES**

2

MR. HAROLD BECK

3

MR. BECK: Well, thank you, Mr. Chairman. I
really only have a change -- a suggested change
of one word. And the task for the committee
originally said "audit" dose reconstruction
procedures, et cetera, and we'd like to change
that word to "assess" dose reconstruction
procedures.

10

VICE ADMIRAL ZIMBLE: Okay. Would you like to

make that in the form of a motion?

12

MR. BECK: Yeah, I move that we change that one
word.

14

VICE ADMIRAL ZIMBLE: Do I have a second?

15

MR. PAMPERIN: I second.

16

VICE ADMIRAL ZIMBLE: All right. Any
discussion?

18

(No responses)

19

All -- all of who approve?

20

(Affirmative responses)

21

Okay. Okay, thank you. Without objection,
that change has been made.

23

Now I would like to ask Mr. Groves for --
chairman of Subcommittee Number 4, to discuss

24

1 his proposed revision and place it in the form
2 of a motion.

3 **MR. GROVES:** Yes, sir. I would -- the
4 background is that the title of our committee
5 originally was Subcommittee on Communication
6 With and About Atomic Veterans. None of the
7 other subcommittees had the term "atomic
8 veterans" in their title. And since our Board
9 is completely involved with and addressing
10 issues related to the atomic veterans, it
11 didn't seem that we needed to call out atomic
12 veterans in our subcommittee title.

13 Also to expand the scope of what the committee
14 would do, the -- it is our recommendation to
15 rename the committee the Subcommittee on
16 Communications and Outreach and, to add to the
17 responsibility that the committee has, to
18 coordinate communication and outreach
19 functions, both internal to the Veterans Board
20 on Dose Reconstruction and external to veterans
21 for public meetings. So it expands the scope
22 of our committee to provide communication-
23 related issues within the committee, as well as
24 our activities with the veterans.

25 And I would -- I would move that that -- that

1 change be adopted by the Board.

2 **VICE ADMIRAL ZIMBLE:** Do we have a second?

3 **COLONEL TAYLOR:** I second.

4 **VICE ADMIRAL ZIMBLE:** (Off microphone) Okay,
5 and (unintelligible) approve?

6 Then without objection, those changes will be
7 made.

8 Dr. Blanck, you...

9 (Whereupon, there was a discussion regarding
10 the use of microphones which was held off-
11 microphone and was therefore unintelligible.)

12 **COLONEL TAYLOR:** I seconded the second motion,
13 the one on outreach.

14 **VICE ADMIRAL ZIMBLE:** (Off microphone)
15 Unintelligible). Okay. The vote was without
16 objection. Okay.

17 All right, well, now we -- now we can declare
18 that the time is 9:15 and we'll ask for a
19 report on the -- the -- the Subcommittee on
20 Dose Reconstruction Procedures, so Dr. (sic)
21 Beck, the floor is yours.

22 **MR. BECK:** Thank you, Mr. Chairman. Since this
23 report is fairly long compared to some of the
24 other ones, I'm not going to read the entire
25 report. The entire report will be entered in

1 the record, and there are copies outside for
2 anybody who already hasn't one. So I'm just
3 going to try to hit the -- some of the
4 highlights of this report and excerpt some of
5 the major points.

6 First we started off with repeating what our
7 tasks were, which as I said were to assess the
8 dose reconstruction procedures and to audit a
9 random sample of the DTRA dose reconstruction
10 cases.

11 We then go through the activities of this
12 subcommittee since the meeting that we had in
13 Tampa that we carried out to complete these
14 tasks, or at least to start completing these
15 tasks.

16 The first thing we did was we select an initial
17 six cases randomly from the cases that have
18 been completed -- dose reconstructions have
19 been completed since the May 20, '03 Academy
20 report. These six cases that we picked, we
21 used what's called a stratified random sampling
22 that concentrates the sampling so that it
23 represents the types of cases and the areas
24 where the veterans were. And since, as Dr.
25 Blake said yesterday, the vast majority of

1 cases that they have been doing in the last few
2 years are skin cancer and prostate cancer, we
3 chose our cases to represent that fact.
4 So six cases, there was a skin and prostate
5 case from Project -- from GREENHOUSE in 1951; a
6 prostate cancer case from TEAPOT, which is
7 Nevada, in 1955; a thyroid cancer from
8 CROSSROADS, which was in 1946; a skin cancer
9 from the Hiroshima/Nagasaki occupation force
10 participant; another skin cancer from
11 CROSSROADS; and a prostate cancer, again from
12 the Hiroshima/Nagasaki occupation force. So
13 those were the initial six cases that we looked
14 at.

15 In October the subcommittee had a meeting at a
16 DTRA radiation dose assessment contractor
17 facility, and the reason we had our meeting
18 there was that so we could interview and have
19 discussions with the contractor analyst who
20 actually did these radiation dose assessments.
21 And some of the -- we list a number of items
22 that we discussed at this meeting. I'll just
23 mention three right now.

24 We developed a preliminary audit plan for how
25 we would go about doing these audits. We

1 discussed each of these audits with the lead
2 analyst and we found these discussions were
3 very informative with respect to the
4 subcommittee's understanding of the current
5 DTRA dose reconstruction procedures and
6 practices. And one important thing that came
7 out of this, immediate benefit, was that as a
8 result of the discussions with the analysts,
9 the DTRA RDA contractor acknowledged some
10 issues regarding documentation of files and
11 calculations and consistency of methodology,
12 and informed us he has already instituted
13 corrective measures to address some of these.
14 So it was sort of immediate feedback, which we
15 were very pleased with.

16 After that meeting we have spent a lot of time,
17 the members of the committee, reviewing these
18 six cases and reviewing the various procedures
19 that were used to do these dose
20 reconstructions. On Wednesday the committee --
21 subcommittee met to discuss our progress with -
22 - in our individual reviews of these cases. We
23 haven't been able to complete these six audits,
24 but when we do complete them we'll have a
25 formal report summarizing our findings on each

1 case audit that -- and we'll place that on the
2 VBDR web site. So you will be able to access
3 and read our -- these audits. They will not
4 refer to any specific person, they will be
5 anonymous, but you will be able to get an idea
6 of our findings on individual audits.

7 I'm going to just sort of summarize some of the
8 main findings from -- so far that we have.
9 These are preliminary audit and assessment
10 findings.

11 Based on the initial audits, Subcommittee 1
12 finds that the most significant area where NTPR
13 exhibited progress is in application of the
14 benefit of the doubt and in development of the
15 SPARE in close cooperation with the veteran. A
16 significant change in the overall approach by
17 DTRA contractors in response to the 2003
18 National Academy of Sciences report is clearly
19 evident.

20 We also, while we were there, examined the DTRA
21 contractor's library at this facility, and we
22 were impressed by the depth of personal
23 knowledge. And we found this to be -- the
24 knowledge of the analysts to be very
25 noteworthy. The ability of the DTRA contractor

1 to validate veteran participation by locating
2 and assembling copies of relevant documents
3 that documented exposure scenarios -- such as
4 personnel files, orders and unit operations
5 reports -- was highly commendable.

6 Significant progress still needs to be made in
7 documenting procedures assuring all analysts
8 use consistent methodology. Our initial six
9 audits indicate that analysts may not always be
10 using consistent methodology, although -- at
11 least from these six cases -- there is no
12 indication that this has affected the
13 credibility of the dose assessments. One
14 reason for this is that new methods are being
15 introduced in response to the National Academy
16 of Sciences and Congressionally-mandated
17 reviews, but this new methodology has not been
18 formally adopted and documented in standard
19 operating procedures.

20 Another finding is that case file documentation
21 needs to be improved for audits to be carried
22 out expeditiously. In some cases calculations
23 could not be verified due to inadequate
24 documentation in the case file.

25 DTRA contractors are developing templates that

1 can be used to move rapidly -- to more rapidly
2 perform dose assessments for veterans whose
3 exposure scenarios conform to a completed
4 generic dose reconstruction with, at most,
5 minor variations. Using templates and standard
6 SPAREs will allow DTRA to only perform a
7 detailed RDA if there are significant
8 exceptions to the generic SPARE.
9 Skin dose calculations are very complicated and
10 very uncertain. New methods being applied
11 currently have not been reviewed by the VBDR,
12 nor documented in standard operating
13 procedures. Based on the average cost of about
14 \$9,000 for a radiation dose assessment that was
15 given to you yesterday by Dr. Blake, it may not
16 be beneficial to perform skin dose radiation
17 dose assessments, particularly for squamous
18 cell carcinoma where doses are likely well
19 below that required for a successful claim.
20 Because radiation dose assessments currently
21 being performed are driven by the backlog and
22 are dominated by easier cases, many of which
23 are these generic cases, the cost of performing
24 skin cancer radiation dose assessments could
25 actually be higher than this \$9K.

1 DTRA has not performed -- has not issued a
2 formal technical analysis demonstrating that
3 the interim upper bound factors that are being
4 applied in response to the recommendations of
5 the Academy report always provide an upper
6 bound dose that is at least at the 95th
7 percentile. After DTRA provides a technical
8 justification for these interim upper bound
9 factors, Subcommittee 1 will then formally
10 review it.

11 Subcommittee 1 believes that continuing the
12 current use of interim upper bound factors is
13 acceptable for generic radiation dose
14 assessments using templates, but it is not
15 consistent with the recommendations of either
16 the 2003 National Academy report or the 2004
17 Report to Congress. Unless a formal change in
18 DTRA policy is adopted, an actual estimate of
19 the 95th percentile dose is required. It might
20 be reasonable to change this policy to require
21 an actual calculation of the upper bound only
22 when the outcome might be affected -- that is,
23 the calculation of the probability of causation
24 by the Veterans Administration -- and formally
25 use the present, or possibly revised, interim

1 factors when the central estimate of the dose
2 is far below the level that could result in a
3 claim being granted. This would be consistent
4 with the policy presently used in the NIOSH
5 dose reconstruction program.

6 Although Subcommittee 1 has found some problems
7 with documentation and use of inconsistent
8 methodology, we found no indication in these
9 first six audits that doses and upper bounds
10 were being significantly underestimated, or
11 that there were any errors that might have
12 affected any decision by the VA on the
13 veteran's claim. Audit criteria applied to all
14 cases are shown in the attachment of this
15 report, which I won't read, and include an
16 examination of the reported upper bounds.
17 However, Subcommittee 1 cannot draw any
18 statistical conclusions on the quality of the
19 radiation dose assessments until a large number
20 and variety of cases are audited.
21 Subcommittee 1 cannot adequately evaluate the
22 calculation of skin doses at this time because
23 the DTRA methodology has not been formalized.
24 In addition, the use of beta to gamma dose --
25 the gamma to beta -- beta to gamma dose ratio

1 method has not been formally validated.

2 Our future plans are to continue with this
3 practice of interviewing -- meeting with the
4 analysts and interviewing them. We intend to
5 choose another six cases between each of the
6 VBDR meetings. Our plan is to do about 24
7 audits per year.

8 Subcommittee 1 was not able to complete its
9 reviews of any specific NTPR methodology as
10 specified in our scope. However, we expect to
11 continue our assessment of both established
12 methods, as well as proposed new methods, and
13 we will report our findings at future VBDR
14 meetings as we complete these assessments.
15 We have a number of suggested issues for
16 discussion by the Board. Based on our
17 preliminary audit findings and the evaluation
18 of DTRA dose reconstruction methodology, we
19 suggest the following issues for VBDR
20 discussion.

21 One issue is -- has to do with the outcome of
22 dose reconstructions. At present there is no
23 indication in the DTRA files that we are
24 auditing regarding the resolution of claims for
25 which the radiation dose assessment was

1 prepared for the VA. This could easily be
2 remedied by the VA copying DTRA when notifying
3 the veteran regarding the resolution of a
4 claim. This would then allow us to compile
5 statistics on what the effect of these various
6 radiation dose assessments had on the claims.
7 DTRA, as you heard yesterday, has proposed
8 discontinuation of revised radiation dose
9 assessments for prostate cancer rework cases.
10 DTRA has indicated that they plan to
11 discontinue revision of RDAs for prostate
12 cancer claims that were -- that were returned
13 to DTRA for reassessment as a result of the
14 findings of the 2003 National Academy report.
15 I apologize, sometimes -- we have "DRAFT"
16 written across here and sometimes I can't read
17 my own -- the "DRAFT" is blocking out my own
18 words.
19 The rationale for this proposed action is that
20 these doses, when revised upward using the
21 interim upper bound correction factors that
22 were initii-- adopted after the National Academy
23 report, remain below the lowest dose that could
24 qualify a veteran for compensation. Based on
25 Dr. Blake's analysis of the 78 reassessment

1 prostate radiation dose assessments that he
2 discussed yesterday in his presentation,
3 Subcommittee 1 concurs that detailed
4 reassessments of the 128 additional pending
5 prostate cases not be done, providing pre-
6 assessment identifies no factors that could
7 significantly increase the dose. Unless there
8 are unusual circumstances, it is not likely
9 that reassessment of these would result in a
10 dose that is high enough to suggest that a
11 veteran's cancer was more likely than not to be
12 due to his radiation exposure. Subcommittee 1
13 notes that the proposed change will enable DTRA
14 to focus on the performance of radiation dose
15 assessments for other pending claims. We also
16 note that the proposed action does not apply to
17 newer pending cancer claims for which a
18 radiation dose assessment has not been
19 performed.

20 Another issue for the Board to discuss is use
21 of screening doses in lieu of detailed
22 radiation dose assessments for new cases as
23 well as reassessments. Subcommittee 1 notes
24 that NIOSH provides an abbreviated radiation
25 dose assessment when doses are considered

1 minimal. Considering the cost, it may not be
2 cost effective for the government to perform
3 detailed radiation dose assessments when the
4 dose can be shown to be clearly below the level
5 that would result in a successful claim.

6 Reducing the number of detailed radiation dose
7 assessments through the use of screening doses
8 would serve to reduce the backlog of claims and
9 result in more expeditiously handling future
10 claims.

11 Regarding the continued use of upper bound
12 factors, even if the NTPR continues to perform
13 detailed radiation dose assessments for all new
14 cases, the Board should consider whether or not
15 the interim upper bound factors adopted in
16 response to the 2003 Academy report should be
17 made permanent for cases where the doses are
18 considered minimal, as opposed to performing
19 more detailed uncertainty analyses.

20 Finally our last item for the Board to consider
21 is possibly recommending that certain types of
22 skin cancers be made presumptive. We should
23 consider requesting a cost-benefit analysis
24 with respect to making certain skin cancers
25 presumptive for the program. Because skin

1 cancers now constitute over half of the pending
2 non-presumptive claims requiring radiation dose
3 assessments, and the average cost of preparing
4 these radiation dose assessments may well
5 exceed the cost of any additional benefits that
6 would be provided to veterans, making some or
7 all skin cancers presumptive might well reduce
8 the overall cost to the government. Doing so
9 would significantly reduce the pending case
10 backlog and expedite the processing of pending
11 and future claims.

12 As you know, there are four members of this
13 committee. However, Dr. Blake being the DTRA
14 representative -- let me read this to be clear.
15 Because he administers the NTPR dose assessment
16 program, it would not be appropriate for him to
17 be taking positions on the findings and
18 proposed recommendations that I've just read or
19 -- so these findings and recommendations
20 represent the consensus of the three non-DTRA
21 subcommittee members. But Dr. Blake does
22 participate fully in our discussions, and in
23 fact is crucial to the success of our
24 subcommittee, so I certainly wouldn't want to
25 belittle his services. The entire report is

1 submitted for your approval. Thank you.

2 **VICE ADMIRAL ZIMBLE:** Well, thank you very
3 much, Dr. (sic) Beck. I need to compliment you
4 and your committee for producing an excellent
5 report that has a great deal of substance to it
6 and some topics worthy of -- of our discussion
7 and -- and potential recommendations to the
8 agencies. I would first like to call on -- on
9 one member who is -- who is here telephonically
10 for her comments. Dr. Vaughan has a great deal
11 of expertise in risk communication and has
12 comments that are worthy of our consideration.

13 So Elaine --

14 **DR. VAUGHAN:** Yes.

15 **VICE ADMIRAL ZIMBLE:** -- were you able to -- to
16 hear Dr. (sic) Beck satisfactorily?

17 **DR. VAUGHAN:** Yes, I was, thank you. I have a
18 couple of concerns or reservations about some
19 of the -- a couple of the suggestions, but
20 perhaps with some discussion these can be
21 allayed. Let me start with the proposed
22 discontinuation of the revised RDAs for the
23 prostate cancer rework cases.

24 From a risk management perspective, what have
25 the veterans been told about the reassessment?

1 I'm concerned that in the middle of a process a
2 change in policy could cause several unintended
3 consequences, such as they're -- they're not
4 going to reassess us because there was a
5 possibility that my claim could have gone
6 forward. You know, people may attribute motive
7 to -- to this action that are unintended, and I
8 think the issue of framing this in terms of
9 cost effectiveness in terms of monetary
10 criteria has caused a lot of conflict in the
11 past. So I'd like to hear a little bit more
12 perhaps, if Dr. Blake is there or someone on
13 the subcommittee, to talk a little bit about
14 what are the expectations of the veterans.
15 Have they been communicated with about their
16 RDAs are being reassessed? Where are we in
17 that process?

18 **DR. BLAKE:** Dr. Vaughan, this is Dr. Blake.
19 With regards to your questions, the -- the
20 cases that we're looking at expediting on this
21 review and forwarding back have not been
22 started yet with the veterans. Any of the
23 cases that we'd actually started the SPARE and
24 interactions with the veterans, we're going to
25 continue doing those fully out because there's

1 expectations there that we complete it.

2 **DR. VAUGHAN:** Yes.

3 **DR. BLAKE:** But the cases that we -- that have
4 been basically at DTRA since the end of 2003
5 with almost no interaction with the veterans,
6 and that we have not started, are the ones that
7 I have proposed for this expedited process. So
8 --

9 **DR. VAUGHAN:** Okay.

10 **DR. BLAKE:** -- hopefully we -- we have not led
11 the veterans to expect that we would be doing a
12 complete process for these cases.

13 **DR. VAUGHAN:** Okay. That's a major
14 consideration, because I think that often a
15 cost-benefit or cost-effectiveness analysis has
16 been criticized because they focus too narrowly
17 on monetary criteria instead of looking at the
18 broader consequences of losing trust in an
19 agency and the quality of life issues for the
20 affected parties. So as long as they have not
21 been led to expect any reassessment, or if this
22 information is public in some way that you
23 began this process of revising RDAs or looking
24 at them again and then you stopped in the
25 middle, you can imagine how -- with the best of

1 intentions that DTRA has, because I understand
2 the issue of it -- it's a zero-sum game. And
3 if you're putting a lot of resources into this
4 particular activity, then of course your
5 backlog increases and there's some other cases
6 that might be, in quotes, more worthy of
7 consideration. But I'm very concerned about
8 the appearance in this risk management context
9 that there were other motives to changing this
10 policy, so just to bring that to the attention
11 of the committee and to Dr. Blake.

12 **VICE ADMIRAL ZIMBLE:** Elaine, this is Dr.
13 Zimble, would you -- as I understand your
14 comments, there would be no problem with our
15 approving the current recommendation, which is
16 to utilize this technique strictly for the
17 backlog, and -- of the revised cases, the
18 rework cases -- and then go out for public
19 comment for a broadening of that policy to
20 include all such cancers and -- well, first of
21 all I'd like your comment regarding that.

22 **DR. VAUGHAN:** Yes, but I -- the comment I
23 wanted to make yesterday also is something I
24 hope we consider. One of the longstanding
25 criticisms of quantitative risk assessment and

1 exposure assessment analyses, one big criticism
2 for years now has been the fact that we're
3 going from population-based -- and several
4 committee members brought this up, by the way,
5 yesterday -- to go from population-based
6 statistics or averages or typical scenarios to
7 the individual. And so I'm -- I'm raising this
8 issue because so many times where risk
9 assessment has failed decision-making is that
10 it fails to bring in the context factors that
11 might identify potentially relevant exposure
12 pathways that were not identified at first.
13 I was thinking about in a Theater of
14 Operations, for example, if an individual was
15 exposed to -- to radiation, there are other
16 subsequent activities that could increase or
17 decrease the risk -- the duration of exposure,
18 was decontamination possible given the
19 activities the individual was engaged in. And
20 so I'm hesitant to say that when we're looking
21 at each individual that the population-based or
22 average estimates are always appropriate. So I
23 wouldn't want us to miss anything that might
24 change the estimated dose for an individual.
25 I understand the SPARE and using some of the

1 templates seems very reasonable. But you
2 always have to give yourself some room to
3 incorporate individual-level factors that may
4 have changed the dose than what you expected.
5 So I think the issue in risk assessment which I
6 didn't hear yesterday and I want to raise this
7 -- we know that this is not just a matter of
8 science, and so it is wrong to frame it that
9 way. And some of the criticisms of veterans
10 have been about moral/ethical issues -- who has
11 the burden of proof here, where should we set
12 the threshold to say that someone's health
13 outcomes are more likely than not to be
14 associated with a radiation exposure that's
15 service-related. So I don't think that we'd
16 want to use the guise of science to say that
17 these are strictly scientific issues. These
18 are policy value decisions that are being made,
19 as well. And I'm raising that because I'm
20 concerned about missing out on particularly
21 vulnerable populations or sub-populations that
22 may have been exposed in a way that increased
23 the risks that perhaps were unanticipated, and
24 particularly thinking about the context of
25 exposure -- decontamination afterwards, were

1 they engaged in other activities that could
2 have increased the duration of exposure. And
3 from Dr. Blake's presentation yesterday I was
4 really pleased to hear that there are these
5 individual-level context factors that can be
6 incorporated into the dose assessments, and I
7 am assuming that that's the case. If that is
8 the case, then I would feel more comfortable in
9 saying we can do an abbreviated version of
10 these RDAs in many of these cases, but I think
11 we just have to be careful and realize the
12 limitations of risk and dose assessment. Some
13 of these limitations and uncertainties have to
14 be related to the fact that we're talking about
15 individuals, but we use population-level data
16 times, and we have to be willing to accept the
17 cost of a false positive, so maybe compensating
18 someone whose dose really wasn't associated
19 with a health outcome or the cost of the false
20 negative leaving out individuals who really do
21 -- are deserving of compensation. So that's a
22 value issue and we need to talk about values
23 and the ethical and moral aspect of this whole
24 compensation procedure as well as the integrity
25 of the science.

1 So that's a long-winded answer, but it's -- I'm
2 -- I'm raising issues of an individual level
3 and an unusual case where the average RDA or
4 the templates or the abbreviated versions of
5 this process may not pick those up.

6 **VICE ADMIRAL ZIMBLE:** Thank you very much,
7 Elaine. You raise some very valuable points.
8 I would -- I would tell you that it's my
9 understanding that individual dose assessments
10 will still be done by exception for those cases
11 in which there are all those mitigating factors
12 that you spoke to.

13 But let me ask Dr. Blake to respond.

14 **DR. BLAKE:** Dr. Vaughan, Dr. Blake here. The -
15 - those 128 cases, as the Subcommittee 1
16 recommended and we've proposed, we are going to
17 go through individually. We are going to look
18 to --

19 **DR. VAUGHAN:** Okay.

20 **DR. BLAKE:** -- see if there's any individual
21 circumstances. The letters that we draft to go
22 out with our -- we write them to the VA, but
23 they're written towards the veterans, also, to
24 explain what we're doing, what's going on here.
25 And certainly if they have any questions to --

1 for us to be able to explain exactly what we've
2 done for them. I think this is in the
3 veterans' best interest. There's no reason, if
4 we can't help them to get compensated, to keep
5 dragging this out.

6 **DR. VAUGHAN:** Yeah.

7 **DR. BLAKE:** And we want to -- to get this
8 finished for them and -- but we will do our
9 best to answer any of the questions the
10 veterans have to make sure that we haven't
11 missed any unusual circumstances. We are
12 looking on an -- on an individual by individual
13 basis.

14 **DR. VAUGHAN:** Well, that's very reassuring, Dr.
15 Blake, and I think that DTRA has to be more
16 proactive in explaining this because this is
17 exactly where some of the concerns come from
18 and some of the conflict regarding the
19 compensation process. And I think that a more
20 proactive approach to explain this to people,
21 that you're not ignoring individual
22 circumstances, is very reasonable. And I agree
23 that the -- prolonging the uncertainty of
24 whether or not you're going to get compensated
25 has a -- has a cost, as well. And for the

1 quality of life of these veterans, and for some
2 kind of resolution, I agree with you completely
3 that, if possible, that's a wonderful direction
4 to go in. And it's more than money and cost
5 effectiveness. It's about the consideration of
6 these individuals. But I think that that needs
7 to be -- perhaps there's a way to make that
8 information more available or more salient
9 because then it gives legitimacy to what you're
10 proposing to do.

11 **VICE ADMIRAL ZIMBLE:** Thank you very much,
12 Elaine. Your comments are very, very helpful,
13 and I would say, in addition to the cost
14 factors that you've mentioned, there's also the
15 ability to attend to other claims that are --
16 that are in the hopper and -- and become more
17 expeditious in moving those claims along, as
18 well.

19 **DR. VAUGHAN:** Yeah.

20 **VICE ADMIRAL ZIMBLE:** So it's a question of --
21 of prioritizing workload to the benefit of the
22 veteran.

23 Dr. Lathrop.

24 **DR. LATHROP:** Yes, Dr. Vaughan, I appreciate
25 very much what you've been saying. I would

1 point out that we'll be examining the exact
2 communications as they're sent to the veterans.
3 I fully agree with your points that cost
4 effectiveness is not the appropriate framing in
5 terms of the explanation to the veteran --

6 **DR. VAUGHAN:** Yeah.

7 **DR. LATHROP:** -- although that can be part of
8 it. At the same time we'll be taking a careful
9 look at can the results be framed more clearly
10 and simply in terms of -- of a set of upper
11 bounds and what the upper bound is and relating
12 that to the threshold dose that would have to
13 be crossed for action, and a list of exceptions
14 or possible exceptions. So there's a lot --
15 almost -- it's more than formatting, but a lot
16 of it simply does have to do with the
17 formatting and the presentation to the
18 veterans.

19 **DR. VAUGHAN:** Yes.

20 **DR. LATHROP:** In the discussions yesterday and
21 today, I wouldn't blame anybody for saying gee,
22 this is all awfully complicated stuff. At the
23 same time, the way we frame the actual missives
24 to the veterans doesn't have to be that
25 complicated.

1 **DR. VAUGHAN:** That's right.

2 **DR. LATHROP:** The basic background has to do
3 with -- with upper bounds and comparative
4 analyses and comparative sorts of numbers,
5 which don't have to be bewildering and can be
6 clear to the veteran. But it will take some
7 work to do that.

8 **DR. VAUGHAN:** Absolutely, but these kinds of
9 issues have been transmitted, translated to
10 many public audiences, non-science audiences,
11 and perhaps our subcommittee can help you with
12 that. But there are wonderful examples outside
13 of the particular compensation process that
14 we're talking about where this kind of risk
15 information or exposure information can be
16 communicated to public audiences. So there's a
17 lot of guidance out there.

18 I'm currently on a National Academy of Sciences
19 committee looking at issues like this. And
20 we're going to come out with some
21 recommendations about these kinds of issues,
22 but there's a lot of guidance -- and perhaps we
23 can help you with that.

24 **DR. LATHROP:** Point well taken, thank you.

25 **VICE ADMIRAL ZIMBLE:** Thank you very much. Mr.

1 Groves.

2 **MR. GROVES:** Thanks again, Elaine, for your
3 comments this morning. This is -- this is Ken
4 Groves --

5 **DR. VAUGHAN:** Yeah.

6 **MR. GROVES:** -- and I -- I guess I'm speaking
7 now in my capacity as the chair of the
8 communications and outreach committee, of which
9 both you and John Lathrop are members. And I
10 guess that one of the functions that our
11 subcommittee is charged with is to assist in
12 improving the communications between both the
13 VA and DTRA and the veteran. And so I don't
14 think it would be unreasonable for us to assist
15 you with the actual information that would --
16 that would go to the veterans on this subject.
17 And we can of course be sensitive to those non-
18 technical and non-scientific issues that both
19 John and Elaine have mentioned. So I guess I
20 would just offer our assistance as a
21 subcommittee in -- in working with you on those
22 communication vehicles to -- you know, to get
23 the right information out in a way that is --
24 serves the purpose, but also is an appropriate
25 information exchange with the veteran.

1 And I guess to that end, the question I was
2 going to ask earlier was, for these particular
3 128 people, was there going to be a separate
4 communication to them about the fact that that
5 part of the cohort was going to get treated
6 differently than the others in terms of having
7 a full-blown dose reassessment on the rework?

8 **DR. BLAKE:** I'd certainly welcome the
9 assistance of the Subcommittee 4. I believe we
10 can incorporate those factors into our
11 correspondence. What I'd like to do is in the
12 next few weeks when we prepare this draft
13 correspondence and discussion, forward it over
14 to you for some critical review before we
15 release it. So I think what -- you can look
16 forward to us as a DTRA -- as an item -- action
17 item from DTRA is some input for your review in
18 the next few weeks on how we prepare to release
19 this information as we go ahead with these 128
20 prostate rework cases.

21 **MR. GROVES:** That would be great, and I think
22 that that's a -- that's appropriate and I will
23 commit our subcommittee to assist you in a
24 timely way, recognizing that we do want to get
25 this information out as soon as possible so

1 that we could move forward with -- with the
2 process.

3 **VICE ADMIRAL ZIMBLE:** Okay. Dr. Swenson. Oh,
4 that's Dr. Reimann.

5 **DR. REIMANN:** I have a concern with some
6 aspects of the switching of the skin cancers to
7 presumptive, more in the communications and the
8 language problems that that entails. For
9 example, it makes the switch from presumptive,
10 where there's at least conceptually the
11 appearance that something has more compelling
12 evidence of causation. To relabel something
13 where the evidence -- there's not new evidence
14 brought to bear, but to label it for
15 convenience in processing strikes me as raising
16 new communication problems. Whereas I don't
17 disagree at all with the intent or the outcome,
18 I think it brings new problems in communication
19 to try to explain how something gets relabeled
20 without any new evidence that indicates that
21 that condition is now -- the evidence now
22 suggests that that condition is -- is more
23 associated with radiation than we used to think
24 it was. So it's more of a problem of the
25 communications and the language we use, not the

1 -- not the outcome that would flow from this.

2 **VICE ADMIRAL ZIMBLE:** Okay. Dr. (sic) Beck.

3 **MR. BECK:** The reason we're suggesting this as
4 a discussion item is not necessarily because we
5 think that the understanding of the risk has
6 changed, but because of the fact that we have
7 concerns about whether or not you can reliably
8 do a good dose assessment and -- and whether
9 it's worth doing it in terms of the cost
10 benefit. There -- there -- it's already been
11 decided by the health people, as you heard
12 yesterday, that skin cancer can be -- certain
13 types of skin cancer can be caused by radiation
14 exposure. It's also -- these IREP tables, the
15 -- the level that would be required is not that
16 high. Because of the large uncertainty in
17 doing these dose assessments, even though the
18 actual dose may have been very small, we cannot
19 reliably say that they haven't met this. So we
20 -- we are doing these very complicated, very
21 expensive dose reassessments when perhaps the
22 cost of doing this is much greater than -- than
23 giving the veterans this extra benefit,
24 basically. This is in favor of the veteran, so
25 even if they really didn't get their skin

1 cancer from radiation exposure, we will say
2 they did. We will presume that they did. So
3 the overall benefit -- it's a question of
4 what's the overall benefit to the veterans and
5 the overall benefit to the government of making
6 this assumption. And we're making an
7 assumption which would be in favor of the
8 veterans, not the opposite.

9 **DR. REIMANN:** It does appear to be at the -- at
10 the cost of -- of a labeling that is at least
11 supposed to convey some sense of -- of the
12 linkage between radiation exposure and -- and
13 ultimate disease. And so I see that more as a
14 communications problem. As I say, it isn't the
15 answer or the outcome that troubles me at all.
16 It's the fact that it gives still another
17 opportunity for confusion, and it appears to --
18 it appears to be a shifting of -- of something
19 from one column into another, driven by a
20 convenience of what might happen as an outcome
21 rather than new evidence that puts something on
22 one list rather than another. I think it just
23 adds to the -- to the confusion that people
24 experience in understanding what drives the --
25 what drives the decision.

1 So I just wanted -- actually just wanted to --
2 to throw it out there because, to me, the
3 outcome ultimately would -- would drive the way
4 -- the way I would vote myself, but I just
5 wanted to express that concern, particularly
6 since I think Elaine was expressing comparable
7 concerns about aspects of -- of similar
8 information and how that -- and how that bears
9 on veterans' confidence in what the -- what the
10 overall government does and why it does it.

11 **VICE ADMIRAL ZIMBLE:** Okay. There's -- there's
12 no question that we want to make sure that the
13 recommendations that we make are not subject to
14 misinterpretation. Let me at -- there's two --
15 two Board members that want to speak. Do
16 either of you want to speak on this particular
17 issue? Both of you on the issue of the skin
18 cancer? Okay. Then Dr. Swenson, I'd like you
19 to wait. Dr. Zeman's had his -- had his signal
20 up for a long time.

21 **DR. ZEMAN:** Thank you. I wanted to address an
22 issue that Dr. Vaughan raised, and that is the
23 application of population or average data
24 applied to the individual veterans. That issue
25 was very important to us on Subcommittee 1 in

1 looking at the credibility and reliability of
2 the dose reconstruction process. And what I
3 want to point out to Dr. Vaughan and to all the
4 members of the Board is that we -- we found a
5 real difference between dose reconstructions in
6 prostate cases versus dose reconstructions in
7 skin cancer cases. In the case of prostate --
8 (Whereupon, there was a short power failure in
9 the meeting room.)

10 **VICE ADMIRAL ZIMBLE:** Okay.

11 **DR. ZEMAN:** Are we all right now?

12 **THE COURT REPORTER:** Okay, I've got it back.
13 It just blanked out.

14 **DR. ZEMAN:** Are we all right now?

15 **THE COURT REPORTER:** Yes, thank you.

16 **VICE ADMIRAL ZIMBLE:** The hiccup is over?
17 Okay.

18 **DR. ZEMAN:** In the case of prostate, we found
19 the dose reconstructions to be very credible
20 and very detailed and a reasonably reliable
21 estimate of dose. And this is because the
22 primary mechanism of dose to the prostate was
23 from external exposure to gamma rays and to
24 neutrons that was either measured or calculated
25 and -- and documented in some means at the

1 time, and reports were available and researched
2 so that there was reasonable, credible evidence
3 of what the dose to the body and the internal
4 organs was.

5 This is not the case for the skin cancer. In
6 skin cancer there's a large number of
7 uncertainties and -- especially in individual
8 cases. Dr. Vaughan brought up, you know, were
9 people adequately decontaminated and when and
10 how long after the exposure, and those are very
11 credible questions. Anyone who's ever had dirt
12 on their skin or salt water, you know, on their
13 skin or on their clothing, or sand from the
14 beach on their body, you know that it's not
15 evenly distributed. It may or may not come off
16 after you wash or you're decontaminated. It
17 may be with you for a long time.

18 The individual variability in those cases
19 introduces tremendous uncertainty, and it's
20 unquantifiable uncertainty. It's not just that
21 it's uncertain, but we don't know how uncertain
22 and we're unable to really tell. So so far we
23 have not seen that -- that DTRA or the
24 contractors do in the RDAs have any way of
25 getting their arms around the uncertainty in

1 skin dose assessments when -- when the fallout
2 or the sea water or the sand is actually on the
3 skin or on the clothing.

4 That being the case, that's part of the basis,
5 a strong driver in why we've recommended that
6 some of the skin cancers be made presumptive,
7 simply because the uncertainty analysis can't
8 be done. And if the dose analysis can't be
9 done and the uncertainty analysis can't be
10 done, we have a very uncertain process. So I
11 wanted the Board -- Board members to understand
12 that we see a real difference here between
13 prostate -- which is reasonably reliable, with
14 some confidence in the uncertainty levels that
15 are assigned -- and skin cancer, which is
16 highly uncertain and probably unquantifiable in
17 many cases.

18 **VICE ADMIRAL ZIMBLE:** Thank you very much,
19 that's a -- that -- that explanation needs to
20 be included in the recom-- in the formal
21 recommendation from the Board.

22 Dr. Swenson.

23 **DR. SWENSON:** I agree with the comments that
24 are made from Subcommittee 1 on the skin
25 cancer. But I think that you should take out

1 the comment that you think it will save the
2 government money. When you make a cancer
3 presumptive, if we do it for the veterans, it
4 is very likely that the law for the Department
5 of Labor veterans will also become a
6 presumptive and therefore they'll get the lump
7 sum -- \$75,000, \$100,000, \$150,000 -- because
8 they try to keep those lists very identical.
9 And so if we make this change, this may very
10 well impact the Department of Labor. And
11 before we even recommend this I think we should
12 talk to that Board and maybe discuss their
13 issues with this. But the comment that it will
14 save the government money, it may not because
15 of that issue.

16 **VICE ADMIRAL ZIMBLE:** That's a very good point.

17 **MR. BECK:** I might mention that we did not --
18 what we said was we would request that this
19 kind of analysis be done, 'cause as far as we
20 know, we -- we do not really know what the cost
21 benefit, if you want to use those terms, is.
22 We don't know the overall cost to the
23 government. So it was sort of conjecture on
24 our part and that what we would like to see is
25 this kind of discussion and information from

1 these other groups perhaps as to really what is
2 -- is this beneficial in terms of cost. But
3 again, I think the driving thing here is that
4 if we, as we go forward, really do not feel we
5 can support a dose reconstruction for skin
6 cancer, then there really is a problem because
7 it's really not fair then not to make it
8 presumptive because basically what we would
9 then do as an alternative is to require DTRA --
10 or suggest DTRA use such large uncertainties as
11 to in effect pay everybody off, but still do
12 the complicated dose reconstructions first.

13 **VICE ADMIRAL ZIMBLE:** Okay. I appreciate those
14 comments. I would just point out that we
15 needn't discuss the matter of cost, but only
16 recognize that our advocacy is for the veteran
17 and that we do what's best for the veteran. I
18 don't mind apprising the Department of Labor
19 regarding our recommendations and our
20 decisions, but I think that we need to
21 concentrate on -- on the -- on the people that
22 we serve, and -- and that's the veteran. So I
23 -- I can un-- I can -- I am very much persuaded
24 by the -- by -- by the arguments both for the -
25 - the prostate issue and the squamous cell

1 carcinoma issue for two totally divergent
2 reasons. But -- but both reasons, to me, make
3 -- make for irrefutable logic. So -- but
4 that's just the Chairman's point of view. I'd
5 -- I would propose -- wait a minute, before I
6 propose anything, I see a couple of more
7 signals over here, so Dr. Lathrop.

8 **DR. LATHROP:** Yes. Now I'll wear my decision
9 analyst hat and I -- I would encourage the
10 Board, and perhaps we can't come to a
11 resolution here, to adopt fairly clear
12 principles by which we make our decisions. And
13 what's been floating around here in the last
14 half-hour has been something on the order of if
15 it's cost-effective and in the favor of the
16 veteran, that's a reason to do something. And
17 that actually makes some sense.
18 Then when Dr. Swenson pointed up ah, but it may
19 not be cost effective, taking into account
20 Department of Labor and some other things,
21 well, then we need to think through it. I'm
22 beginning to endorse what our distinguished
23 chair has basically said, our -- our scope is
24 doing our best in favor of the veterans within
25 our particular scope. So we may, although it

1 may be politically touchy -- I don't know that
2 we want to be explicit about it -- but adopt a
3 general set of principles that include if it's
4 cost effective within our scope and it's in
5 favor of the veteran, we should consider very
6 seriously doing it.

7 **VICE ADMIRAL ZIMBLE:** I'd like you to reverse
8 those two concepts. I think if it's in favor
9 of the veteran and oh, by the way, it's also
10 cost effective, that's good news. Okay?

11 Mr. Groves.

12 **MR. GROVES:** Well, I guess I would -- I would
13 agree with the last statement that was made in
14 that there are issues that affect other
15 programs that are -- that for consistency in
16 those programs I think there would be interest
17 in -- in awards being -- being made for the
18 same rationale. And I think that Dr. Zeman
19 made an excellent case for why it is difficult
20 to do the analysis for the skin cancers.
21 I think, however, to serve our community, the
22 veterans' community, we don't need to move this
23 from presumptive -- from non-presumptive to
24 presumptive, which would take a changing of the
25 law, as it is currently written -- or at least

1 the application of it. It would seem that just
2 to acknowledge that for that group of people,
3 the uncertainty is such that more people will
4 be awarded a positive outcome to their claim
5 serves our community without having to impact
6 other -- other programs that have to deal with
7 the issue of skin cancer. So I think we can -
8 - as you said, Admiral Zimble, what is
9 important to us is to serve our community, the
10 veterans. And I think we can do that by just
11 expanding this uncertainty and, as Gary said,
12 there will be more people will be paid for the
13 skin cancer -- or their claim will be
14 adjudicated in a positive way, I guess is the
15 way to describe it.

16 **VICE ADMIRAL ZIMBLE:** So you're suggesting that
17 by increasing the level of uncertainty which --
18 which we acknowledge exists, that the doses --
19 the -- the RDA would be higher, and high enough
20 to reach PC for -- for a squamous cell
21 carcinoma.

22 **MR. GROVES:** Yes. And our skin cancer and the
23 way in which people may have been exposed are
24 going to be different from the other programs
25 and that -- and that we can keep it within our

1 house and under our control.

2 **VICE ADMIRAL ZIMBLE:** Dr. (sic) Beck --

3 **MR. BECK:** Yeah, I just wanted to clarify one
4 thing. It's mainly basal and melanoma.

5 Squamous really requires a --

6 **VICE ADMIRAL ZIMBLE:** I'm sorry --

7 **MR. BECK:** -- very large dose.

8 **VICE ADMIRAL ZIMBLE:** -- I'm sorry. I'm sorry,
9 I meant -- I said squamous; I meant basal cell
10 and --

11 **MR. BECK:** But basal, which -- which is the
12 most common one --

13 **VICE ADMIRAL ZIMBLE:** Right.

14 **MR. BECK:** -- really, under the PC that's being
15 used now, requires a fairly modest dose, which
16 --

17 **VICE ADMIRAL ZIMBLE:** Right.

18 **MR. BECK:** -- would probably be exceeded if you
19 put a reasonable uncertainty on the
20 calculations -- for many of the veterans, not
21 all of them.

22 **VICE ADMIRAL ZIMBLE:** Right, I -- I stand
23 corrected.

24 Any other comments? Oh, Mr. Pamperin.

25 **MR. PAMPERIN:** Just to make clear, you know,

1 when we're talking about a law change, what we
2 -- what we would be talking about would be a
3 regulation change. And there -- you know, if
4 there's a reasonable basis for it, we could --
5 you know, that could happen. There is an --
6 for everybody's information, there is a March
7 2005 OMB letter that gives direction to all
8 agencies that if they are to propose any
9 regulatory change that increases entitlement,
10 that accompanying that regulatory change would
11 be another regulatory change showing where
12 you're going to get that money from, where's
13 you're going to offset. So the -- the issue
14 there I think is if it -- I think that's not
15 insurmountable. If your -- if your argument is
16 that you're going to increase the level of
17 uncertainty to such a level that it's going to
18 happen anyway, well then there is no cost. But
19 I think that would have to be articulated well
20 for us to put that in the preamble of any reg.

21 **VICE ADMIRAL ZIMBLE:** Thank you very much, Mr.
22 Pamperin. I -- did you have a comment, Dr.
23 Boice?

24 **DR. BOICE:** (Off microphone) Yes, I --

25 **VICE ADMIRAL ZIMBLE:** Yes.

1 **DR. BOICE:** Sort of a summary comment, just on
2 these levels of uncertainty. It seems to be
3 interesting that we have two cancers that are
4 not highly radiogenic, the prostate and the
5 skin. And because of uncertainty we're going
6 to reward the cancer where the uncertainty in
7 the dose assessment is greatest. Whereas for
8 prostate, because the dose uncertainty is less,
9 we're going to assume that they will not reach
10 the upper level and therefore it would not get
11 an award. But because of the skin, if I
12 understand it correctly, because the assessment
13 of the dose is so uncertain -- both high and
14 low, I assume -- that that would then be level
15 for award.

16 This is something that has always disturbed me
17 a little bit, too, with the IREP is -- is that
18 it rewards uncertainty, also. If you have a
19 cancer site -- if two veterans come in and one
20 cancer is not known to be highly radiogenic,
21 the uncertainty is very great, and an award is
22 made based on the 99 percent level. But then
23 another veteran would come in -- this would be
24 -- or another person with a site that the
25 evidence is pretty well known on radiogenicity,

1 the uncertainty is lower and then the same dose
2 would not receive an award. This is forgetting
3 presumptive and non-presumptive. So I just saw
4 that as an unusual rationale, in a way, is
5 making awards based on uncertainty as a -- for
6 one case but not for the other.

7 **VICE ADMIRAL ZIMBLE:** Let me try to recouch
8 that with a different concept. And that is
9 where do you want to place the burden of proof,
10 on the veteran or on the government? If you're
11 going to place the burden of proof
12 appropriately on the government, then the
13 veteran gets the benefit of the doubt with
14 uncertainties. I think that's -- I think
15 that's the way we should be proceeding,
16 according to the spirit of the law, which says
17 give us -- the veteran -- the benefit of the
18 doubt in many, many areas. So I -- I agree
19 with you that that uncertainty gets rewarded.
20 But that's where the burden of proof is.
21 Dr. (sic) Beck.

22 **MR. BECK:** Yeah. No, I just want to follow up
23 on that. It's not just the uncertainty in the
24 dose, but because these are considered very --
25 maybe not radiogenic cancers, both of them,

1 prostate and skin, there is a very big
2 discrepancy between the best estimate -- the 50
3 percentile level and the 99th percentile level,
4 and that's why you can get rewarded now at the
5 99th percentile for this fairly low skin dose.
6 It's because it -- both the PC and the skin
7 dose are very uncertain. So you're right. I
8 mean it's a combination of the two, but the --
9 you know, that gets into this whole concept of
10 using 99th percentile.

11 **VICE ADMIRAL ZIMBLE:** Thank you very much. I'm
12 therefore go-- Dr. -- Dr. Lathrop.

13 **DR. LATHROP:** Ah, yes, I can tell some
14 irritation. I'm used to that.

15 **UNIDENTIFIED:** (Off microphone)
16 (Unintelligible)

17 **DR. LATHROP:** Yes, right.

18 **VICE ADMIRAL ZIMBLE:** We're used to that, too.

19 **DR. LATHROP:** Yes, I'm afraid so. I just
20 wanted to share a question I have in my mind to
21 help clarify at least my own thinking. One of
22 the problems with my esteemed subcommittee
23 chair's suggestion that maybe we should still
24 go through some -- some dose estimation for the
25 skin -- skin cancer, and of course then the

1 tail of the distribution will be above PC
2 equals 50 and they'll get the award. The
3 problem is that doesn't save us the money, to
4 be perfectly crass, as moving to presumptive
5 would. And then another conversation we've had
6 seems to at least maybe suggest -- I'm putting
7 words in people's mouths -- that we can be a
8 little bit clever here and treat particular
9 cases as if they're presumptive without putting
10 that cancer on the presumptive list because of
11 the implications to different agencies for
12 that. And I just wondered, is that acceptable;
13 could we do that? For instance, we might
14 decide to have this particular skin cancer
15 treated as if it's presumptive without putting
16 it officially on the list. Is that too clever?
17 Is that legally appropriate? I'm wording that
18 as a question.

19 **VICE ADMIRAL ZIMBLE:** I'll -- we'll take that
20 for consideration.

21 **DR. LATHROP:** I'm used to that response, too.

22 **VICE ADMIRAL ZIMBLE:** What I would like to
23 propose is that Dr. Blake work with Dr. (sic)
24 Beck and Mr. Groves in -- in constructing
25 formal recommendations for the Board's

1 consideration and approval, hopefully, that
2 takes into consideration all the various
3 admonitions that have been -- that have been
4 brought forward so that -- and -- and by all
5 means, we'll make sure that our expert on risk
6 communications, Dr. Vaughan, has an opportunity
7 as a member of Subcommittee 4 to -- to review
8 this to assure that we're doing our very best
9 to eliminate a -- misconceptions of what we're
10 doing.

11 Dr. Blake.

12 **DR. BLAKE:** Admiral, the only -- I'm certainly
13 happy to help from the Defense Threat Reduction
14 Agency, assisting both sub-chairs, but I
15 believe my colleague, Mr. Pamperin from the
16 Veterans Affairs, will also have to contribute
17 in this if we're doing a cost-benefit analysis.

18 **VICE ADMIRAL ZIMBLE:** I'm happy to include Mr.
19 Pamperin into the team.

20 **MR. PAMPERIN:** Yes, and I already sent an e-
21 mail message to begin working on the cost
22 estimate.

23 **VICE ADMIRAL ZIMBLE:** Dr. (sic) Beck.

24 **MR. BECK:** I think that Dr. Blake would,
25 however, like a decision on his rework prostate

1 cancers. I think, you know, that bridge is
2 where he'd like the Board to actually make a
3 decision today. Is that correct, Dr. Blake?

4 **DR. BLAKE:** Yes, it is, Mr. Beck.

5 **VICE ADMIRAL ZIMBLE:** Well, I will be happy to
6 ask for a consensus from -- from this Board as
7 to whether we can approve, and I -- I think we
8 can approve it. When we make that
9 recommendation, it needs to be well phrased so
10 --

11 **DR. VAUGHAN:** Yeah.

12 **VICE ADMIRAL ZIMBLE:** -- so there's no
13 misunderstanding. But -- but I think we all
14 agree that with -- with the various constraints
15 that have been placed, this is to be for rework
16 cases right now, that it -- that those cases
17 will be looked at on an individual basis in
18 accordance with the SPARE, et cetera, to see
19 whether or not it fits a template or exceeds a
20 template, and any case that exceeds a template
21 is going to be -- is going to continue to be
22 worked as it -- as it has been now.

23 Is that not right?

24 **DR. BLAKE:** With a small variation. We have
25 not completed SPAREs on these cases yet, but we

1 are certainly going to review each and every --
2 every one for unusual circumstances, and if
3 they're there, we will not treat them this way.
4 We'll do the full RDA concept.

5 **VICE ADMIRAL ZIMBLE:** Okay. With -- with that
6 in mind, I would ask for the Board's vote, yea,
7 in favor of supporting this proposal from DTRA
8 and -- Elaine --

9 DR. VAUGHAN: Yes.

10 **VICE ADMIRAL ZIMBLE:** -- I'll need a voice vote
11 from you.

DR. VAUGHAN: Yes, with Dr. Blake's comments?

13 VICE ADMIRAL ZIMBLE: Right.

14 DR. VAUGHAN: Yes.

15 **VICE ADMIRAL ZIMBLE:** Okay. Is there any
16 objection?

17 (No responses)

18 The Board -- the Board endorses the proposal of
19 -- of Dr. Blake of NTPR to -- to take -- to
20 make their changes in the prostate.

21 DR. VAUGHAN: Admiral Zimble --

22 VICE ADMIRAL ZIMBLE: Yes?

23 **DR. VAUGHAN:** -- I just want to make sure,
24 though, that the language that Dr. Blake just
25 presented to us accompanies --

1 **VICE ADMIRAL ZIMBLE:** Yes.

2 **DR. VAUGHAN:** -- a record of this
3 recommendation.

4 **VICE ADMIRAL ZIMBLE:** Yes, it will.

5 **DR. VAUGHAN:** Okay.

6 **VICE ADMIRAL ZIMBLE:** Okay, let's see where we
7 are on the agenda. We -- and by the way, this
8 -- this completes that report. We're ready for
9 the -- for the second report. We were to take
10 a break at -- in five minutes. I -- I'm going
11 to leave it to Dr. Blanck. Would you like to
12 do your report before the break, if...

13

**A REPORT FROM SUBCOMMITTEE ON VA CLAIMS ADJUDICATION
PROCEDURES**

14

DR. RONALD BLANCK

15 **DR. BLANCK:** Actually I believe I can do it
16 briefly enough that we'll only push the break
17 back by a minute or two, so yeah, perhaps we
18 can do that.

19

VICE ADMIRAL ZIMBLE: Okay.

20

DR. BLANCK: Do the report, then take the break
21 and then have a discussion.

22

VICE ADMIRAL ZIMBLE: That'll keep us on
23 schedule. Thank you very much.

24

DR. BLANCK: Thank you.

1 **VICE ADMIRAL ZIMBLE:** So please proceed, Dr.
2 Blanck.

3 **DR. BLANCK:** Mr. Chairman, members of the
4 Board, it's my pleasure to present the draft
5 report of the Subcommittee on the VA Claims
6 Adjudication Procedures of the Veterans
7 Advisory Board on Dose Reconstruction. A
8 disclaimer -- Mr. Thomas Pamperin, who's of
9 course a member of the Board, serves as the VA
10 liaison to our subcommittee. Because he works
11 for the VA it would not be appropriate for him
12 to take any formal position on the findings and
13 proposed recommendations in this report.
14 Therefore these findings and recommendations
15 represent the consensus of Dr. Zimble and
16 myself. I would add that we've been well-
17 served with excellent suggestions and comments,
18 both from Dr. Fleming and Dr. Vaughan, on this
19 report, and I'll try to note those at the
20 appropriate times.
21 You have the report in front of you. I'll not
22 review everything or read everything, but we
23 essentially are to review the policies and
24 procedures used by the VA and the Veterans
25 Benefit Administration for claims by veterans.

1 This includes performing random audits on
2 claims evaluation procedures, and decisions on
3 claims for radiogenic and non-radiogenic
4 disease. This will include evaluation of the
5 methods for adjudication of claims and the
6 scientific validity of decisions made on a
7 suitably large number of randomly-selected
8 claims. You have defined eligible veterans,
9 and this definition of the population,
10 including of course atomic veterans, is taken
11 from VA publications.

12 On the second page I note and compliment the VA
13 that they have created a VA Ionizing Radiation
14 Registry where environmental health clinicians
15 conduct a comprehensive physical examination.
16 It's similar to other registries that the VA
17 has. We've heard some testimony yesterday that
18 sometimes that process is not as smooth as we
19 would like. I know the VA takes that very,
20 very seriously, but they do have that registry
21 and more than 23,000 veterans have already
22 participated in this registry.

23 They also -- the VA, that is, publishes a
24 newsletter called *Ionizing Radiation Review*,
25 which does two things. It both provides

1 information to veterans, but it also helps
2 educate those in the VA and DoD. Because as we
3 also heard yesterday, sometimes those in this
4 large system of health care -- people aren't as
5 aware of things as they ought to be, so it's a
6 continual education process and I compliment
7 the VA on doing that.

8 Now we then had, in our meeting in late
9 November at the Veterans Benefit Administration
10 Office in Washington, a series of comprehensive
11 presentations on the processes and procedures
12 used by the VA for veterans who fit into the --
13 the category on the first page. On the basis
14 of possible exposure then, in the presence of
15 disease, veterans may file a claim for
16 disability compensation at any regional VA
17 office. Claims are adjudicated based on the
18 diagnosis or medical conditions. Cancers, in
19 all cases except skin and prostate, as we've
20 heard described, are presumptive for veterans
21 for whom it can be demonstrated participated in
22 some activity that would qualify them for
23 exposure. That is, they were exposed to
24 ionizing radiation. This automatic presumption
25 means that no dose reconstruction is necessary.

1 Now there still is an issue with the timeliness
2 of the VA handling these claims, but it -- and
3 of course if they have to go to DTRA to have
4 evidence generated of their exposure to
5 ionizing radiation, there certainly can be a
6 time factor, but it doesn't have to go through
7 that dose reconstruction process.

8 We actually then concentrated on those
9 conditions that are non-presumptive because
10 those are the ones that are most problematic
11 and take the longest time. As we looked of
12 course at the VA process, any recommendations
13 we have for improvements will affect both the
14 presumptive and non-presumptive cases.

15 Compensation is ultimately based on disability,
16 as for any other service-connected diseases.
17 And I've already described the difference, and
18 we all know it, between presumptive and non-
19 presumptive.

20 The VA is trying to estimate the total number
21 of veterans granted benefits due to radiation
22 exposure and who are still in the system and so
23 forth. This is very hard to get and we've
24 heard a little bit about that yesterday. I am
25 told by Mr. Pamperin that the VA hopes to have

1 that data perhaps by the end of this month or
2 into -- into February. Skin cancer and
3 prostate of course we've also heard make up
4 more than 90 percent of the pending claims, and
5 there is anticipation that most of the new
6 claims will fit in that as far as the non-
7 presumptive diagnoses.

8 Now with the presumptive group automatically
9 being awarded service connection, and depending
10 on the degree of illness, compensation, if it
11 can be demonstrated that they were in a
12 location and exposed to ionizing radiation,
13 potential improvement in the process would be
14 for the non-presumptive group, again realizing
15 that as we make recommendations for the VA's
16 initial handling of the claims, that would work
17 for the presumptive as well. We kind of
18 reviewed and walked through what would happen
19 to a typical claim for a veteran in the non-
20 presumptive group.

21 Again, the claim can be filed through any one
22 of the 57 veterans benefit offices. The
23 benefit office obtains medical evidence, sends
24 a development letter to the claimant requesting
25 information. Also the veterans benefit office

1 -- VA Benefit office contacts the military
2 service department for verification of service
3 and other information. We were informed that
4 there is a difference as to how regional
5 offices deal with these claims, depending on
6 the level of staff experience and the number of
7 radiation claims each year. The claim is then
8 sent to the central office, usually in a, not
9 the, matter of weeks, and eventually to the
10 Defense Threat Reduction Agency for dose
11 reconstruction.

12 Because of the volume of all of these cases, a
13 lapse of time exists between receipt of the
14 claim by the central VA office and conveyance
15 to DTRA. The VA makes an attempt to put some
16 resources and give priority to atomic veteran
17 cases, but acknowledges that a lot of people
18 are doing a lot of things, so sometimes these
19 cases do not get the priority that we would
20 wish.

21 DTRA subcontracts dose reconstruction to SAIC,
22 and any additional data such as location of
23 service member, time of exposure, is
24 subcontracted to Titan Corporation, which has
25 personnel located at the Military Records

1 Center in St. Louis. The DTRA process seems to
2 take the longest. We've heard about that --
3 months to over a year.

4 When the dose reconstruction is complete, the
5 information is then relayed via the VA central
6 office to the Office of Public Health and
7 Environmental Hazards for determination of
8 service connection. If this connection is
9 established, and that depends on the dose, and
10 probability of causation/assigned share
11 criteria is met, compensation is awarded. Very
12 few non-presumptive cases meet the criteria.
13 The subcommittee also noted equity or fairness
14 issues associated with differential between
15 presumptive and non-presumptive cases, which we
16 will go into more as we do our audits.
17 This provided an opportunity for us to review
18 the processes and procedures at the VA. It
19 remains for us to perform the random audits of
20 the VA claims evaluation procedures and
21 decisions on claims for radiogenic and non-
22 radiogenic diseases, including -- and this is
23 important -- evaluation of methods used for
24 adjudication of claims -- we -- we got some
25 initial information of that, but we need to do

1 it now in an audit way -- and the scientific
2 validity of those decisions. We will audit the
3 claims process and procedures, including
4 interaction with the VAROs, the regional
5 offices, with veterans filing claims and with
6 DTRA on dose reconstruction requirements.
7 What we would now ask for discussion, or
8 perhaps provide recommendations on, is
9 centralizing the ionizing radiation explos--
10 exposure claims. That is, having a single
11 point or perhaps two points within the VA where
12 all of the claims are handled by experienced
13 people, allowing consistency and I think
14 timeliness; providing VA personnel for DTRA,
15 rather than having DTRA just rely on Titan
16 Corporation, at St. Louis to help with rapidly
17 acquiring needed information; developing
18 scenario-specific templates so that
19 reconstruction does not have to be done on an
20 individual basis each time -- for people in a
21 same location who have similar exposures, a
22 template could be developed that would allow
23 for rapid dose reconstruction, or at least more
24 rapid; developing worst case scenario specific
25 templates concerning potential eligibility

1 based on probability of causation so that, from
2 the onset of filing a claim, a veteran
3 understands -- gets this information and
4 understands the likelihood of being eligible
5 for compensation -- telling a veteran something
6 up front I think would go a long way to
7 establishing credibility, and of course having
8 that individual interaction is part of that;
9 developing a protocol to help those with a
10 presumptive diagnosis so they know that it is
11 presumptive, doesn't have to go through a huge
12 process; verifying that they participated in an
13 activity which would qualify as radiation
14 exposure and trying to develop better ways to
15 do that; finally, establishing a centralized
16 database with both input and output data
17 readily available.

18 Mr. Chairman, that concludes my report. Thank
19 you.

20 **VICE ADMIRAL ZIMBLE:** Thank you very much, Dr.
21 Blanck. Are there any comments?

22 **DR. VAUGHAN:** I have one question.

23 **VICE ADMIRAL ZIMBLE:** Dr. -- Dr. Swen-- oh,
24 wait, I'm sorry --

25 **DR. VAUGHAN:** I'm sorry.

1 **VICE ADMIRAL ZIMBLE:** -- Dr. -- Dr. Vaughan,
2 we'll -- I -- I promised we will always start
3 with you.

4 **DR. VAUGHAN:** I can wait.

5 **VICE ADMIRAL ZIMBLE:** No, no, that's -- that's
6 fine. You don't have in front of you the --
7 the latest draft, so --

8 **DR. VAUGHAN:** Okay.

9 **VICE ADMIRAL ZIMBLE:** -- did you -- were you
10 able to discern whether your comments were
11 incorporated into the report?

12 **DR. VAUGHAN:** Yes, this is just a very brief
13 question for Dr. Blanck. According to the
14 plans for the subcommittee, will you be able to
15 identify regional variability in the efficiency
16 of the adjudication process? 'Cause that might
17 be an outcome, that there are some issues at a
18 regional or local level as opposed to a
19 centralized level.

20 **DR. BLANCK:** Ron Blanck here. We were given
21 information that suggested those differences.
22 We were not able to quantify them, but it was
23 clear there were enough differences, there was
24 enough variability, that we felt comfortable in
25 recommending a central office location to -- to

1 take in and begin the claims processing
2 procedures.

3 **DR. VAUGHAN:** Uh-huh, thank you.

4 **VICE ADMIRAL ZIMBLE:** Yeah. Dr. Vaughan, I
5 would -- I would mention that both the veterans
6 and the Veterans Administration acknowledge
7 that the levels --

8 **DR. VAUGHAN:** -- cure a problem.

9 **VICE ADMIRAL ZIMBLE:** Okay. Dr. Swenson?

10 **DR. SWENSON:** Just as a point of clarification,
11 in your document you say most cancers are
12 presumptive, but in your statement you said all
13 cancers other than prostate and skin. And
14 there are some other ones that aren't, so I
15 just -- for the minutes, there's CLL and
16 there's some other cancers that are not, as
17 well.

18 **DR. BLANCK:** Good point, thank you.

19 **VICE ADMIRAL ZIMBLE:** Okay. Thank you. So the
20 recommendation that you would like the Board to
21 consider at this point would be a
22 recommendation to have one or two specialized
23 VAROs that would handle all the radiation
24 claims. And would that include counseling, as
25 well?

1 **DR. BLANCK:** Yes, I believe so. I think having
2 the single entry point, or perhaps two, with
3 dedicated personnel would get at that, would
4 allow that kind of individualized interaction.
5 I would also have the VA ask DTRA if perhaps
6 they could interact more with them at St. Louis
7 to help get that needed information. The VA
8 already has personnel there, yet as I
9 understand it -- and I may be wrong here, Paul,
10 the -- DTRA uses Titan Corporation rather than
11 going to the VA. I think there's some
12 interaction issues or ways that we could
13 leverage the presence of folks there. And then
14 if the VA would ask DTRA, DTRA would take on
15 asking SAIC or perhaps DTRA itself to do those
16 scenario-specific templates. I think this
17 would streamline the process, too. And I
18 believe most of these things are actually
19 happening or being thought about or starting.
20 And certainly the centralized database at the
21 end is -- is well on its way.

22 **VICE ADMIRAL ZIMBLE:** I think that the -- those
23 elements, other than the specialized VARO, are
24 already underway, do not require a
25 recommendation from the Board. Dr. Swenson.

1 Oh, okay.

2 Mr. Pamperin, did you have any reservations?

3 **MR. PAMPERIN:** Well, I'm a little -- I just
4 want to make clear, when you -- when we say
5 centralized claims processing, the veteran can
6 still file their claim anywhere. It will just
7 be moved to one of two places.

8 **VICE ADMIRAL ZIMBLE:** Right.

9 **MR. PAMPERIN:** So that they -- their
10 traditional organization that they're used to
11 dealing with would still receive it. And I
12 don't know, Paul, if -- you know, we've got --
13 VA's got about 50 FTE at National Personnel
14 Records Center, and that's what they were
15 talking about, whether or not we couldn't pull
16 those records for you.

17 **DR. BLAKE:** We certainly would be happy to work
18 with you on that and look -- and look at that
19 concept. I would just mention, the National
20 Personnel Records Center is owned by the
21 National Archives. Neither the Department of
22 Defense nor the Veterans Administration are the
23 ownership of that organization. But since we
24 have personnel there, we certainly can look at
25 working with you on that issue.

1 **VICE ADMIRAL ZIMBLE:** Is there a suggestion
2 that there's duplicative work being performed
3 by two agencies at that one location?

4 DR. BLAKE: One would appear.

5 **VICE ADMIRAL ZIMBLE:** Well, you know, the --
6 the -- the suggestion might actually also
7 benefit quality control issues. You might --
8 you might get -- you might get a reduction of
9 three days in your -- in your delay statistics.

10 **MR. PAMPERIN:** The only -- the only question
11 that I would have for Paul is are any of the
12 records that would have to be gotten
13 classified?

19 | VICE ADMIRAL ZIMBLE: Colonel Taylor.

20 **COLONEL TAYLOR:** (Off microphone)
21 (unintelligible) (on microphone) Mr. Pamperin
22 considering this one or two central locations
23 for the processing of atomic claims, do any
24 particular areas come to mind to you in that --
25 in that field, co-location close to DTRA or

1 things of that type that might simplify this
2 process altogether?

3 **MR. PAMPERIN:** Well, I have to -- I have to put
4 on my VA hat now as opposed to (unintelligible)
5 --

6 **COLONEL TAYLOR:** Yeah, right, that's -- that's
7 what I'm asking.

8 **MR. PAMPERIN:** -- and that becomes an issue of
9 jurisdiction. My job is one of policy and
10 program development. The assignment of work is
11 --

12 **COLONEL TAYLOR:** Comes outside of that.

13 **MR. PAMPERIN:** -- comes from our Office of
14 Field Operations, and I wouldn't want to tread.

15 **COLONEL TAYLOR:** Okay, fair enough, thanks.

16 **VICE ADMIRAL ZIMBLE:** Dr. Zeman.

17 **DR. ZEMAN:** Thank you. Yes, I also have a
18 question for Mr. Pamperin. Many of the
19 veterans who have come and testified before us,
20 both in Tampa and here, had multiple diseases
21 or conditions for which they applied for
22 compensation -- or for disability. If there's
23 a centralized location for handling radiation,
24 what I wanted to ask is how that would work
25 with regard to the other conditions that

1 they've applied for that are being handled by
2 their local regional office. And maybe in
3 answering that, could you also tell me if -- if
4 there are any other conditions or -- or hazards
5 for which you have a centralized point of
6 handling them that might be used as a model for
7 -- for the radiation claims?

8 **MR. PAMPERIN:** Yes, I -- the -- you know, as we
9 -- as we'd work through it, this might change a
10 little based upon input from Office of Field
11 Operations, but basically due to some
12 capabilities that we've acquired in the last
13 couple of years, we're capable of ordering an
14 exam at any medical center from any location.
15 So whereas five years ago if you were here in
16 southern California, you pretty much only had
17 access to three or four medical centers. So
18 there would be no real good reason why the
19 central location wouldn't handle all of the
20 disabilities -- you know, dispose of all of
21 them. If -- you know, that's something that
22 would have to be a policy to decide whether or
23 not we really want to do that, particularly if
24 the -- if dose reconstruction still takes as
25 long, you know, I don't know, maybe the local

1 office would do the other conditions.

2 With respect to centralization, we've got a
3 number of examples of that. The ones that come
4 to mind is that there is a provision in Title
5 10 for what's called imminent death, when
6 members are being separated with anticipation
7 that they will die within six months, if they
8 do die they get all the benefits from DoD as
9 though they had died on active duty in terms of
10 burial and six months' worth of pay and all
11 that kind of stuff. The statute also requires
12 that the Secretary of Defense render those
13 decisions within 48 hours of death, and we --
14 we never could quite do that so we consolidated
15 all of those cases in Cleveland because the
16 Defense Finance and Accounting Service is in
17 the same building.

18 Likewise we have consolidated all in-service
19 deaths, which -- in terms of DIC -- previously
20 had -- might take 60 or 90 days to award
21 benefits. We've centralized all of those in
22 our Philadelphia regional office, which also
23 has SGLI, and DIC is now awarded within 48
24 hours of notice.

25 We do centralize several other unique programs

1 and we now do benefit delivery at discharge in
2 only two locations. So it is something that I
3 think we are moving toward at an increasing
4 pace because of the complexity of all these
5 issues.

6 **VICE ADMIRAL ZIMBLE:** Thank you very much.

7 **DR. ZEMAN:** Thank you.

8 **VICE ADMIRAL ZIMBLE:** Dr. Blake.

9 **DR. BLAKE:** Just one minor point of
10 clarification for Subcommittee 2's report. On
11 page 3, the lower paragraph, there's a sentence
12 in there that states the VARO, the VA Regional
13 Office, also contacts the Military Service
14 Department for verification of a service
15 member's information. They actually contact
16 the Defense Threat Reduction Agency. We
17 provide that information. It's easier for DoD
18 to have one central group than the individual
19 services.

20 **DR. BLANCK:** Good, I'll make that change.

21 Thank you.

22 **VICE ADMIRAL ZIMBLE:** Okay. And Mr. Groves.

23 **MR. GROVES:** My only comment was that a couple
24 of the topics for further discussion would
25 appropriately include input, and we would

1 certainly wish to participate with Subcommittee
2 in working issues which have communication-
3 related activity, so...

4 **DR. BLANCK:** In fact we've even spoken about
5 potentially having a joint subcommittee meeting
6 with those at the VA. I think that'd be a good
7 idea.

8 **MR. GROVES:** That would be great, and I think
9 that -- I think it just gives me an opportunity
10 to raise the point that, you know, our
11 subcommittee has to wait, to a certain extent,
12 on -- for the other subcommittees to identify
13 issues that may have a communication component.
14 And on behalf of our subcommittee, we will do
15 whatever it takes in spreading the wealth of
16 our membership to assist you all with those and
17 -- and this is one of those opportunities.

18 **VICE ADMIRAL ZIMBLE:** Okay. Then without any -
19 - I'm sorry, Dr. -- Dr. McCurdy.

20 **DR. MCCURDY:** I rarely will make a comment, but
21 I just want to have a clarification here on the
22 top of page 4, first paragraph. If you are
23 going to include names for contractors and
24 subcontractors, I think the other reports do
25 not, but I believe SAIC is a subcontractor of

1 Titan, not DTRA --

2 **DR. BLANCK:** Okay.

3 **DR. MCCURDY:** -- if you are going to report it
4 that way.

5 **VICE ADMIRAL ZIMBLE:** Thank you very much. Now
6 without any objection from any members of the
7 Board, I would like to ask, as an action item,
8 for the Chair of the Subcommittee 2 to prepare
9 a formal recommendation that the Board may --
10 for Board's consensus and -- and forwarding to
11 the Veterans Administration.

12 All right. And -- so I assume there's no
13 objection.

14 Okay, let's now have that delayed break. It is
15 now 10:32 -- we'll call it 10:35 -- and ask
16 that you come back in 15 minutes, which would
17 be 10:50.

18 (Whereupon, a recess was taken from 10:32 a.m.
19 to 10:50 a.m.)

20 **VICE ADMIRAL ZIMBLE:** The break is concluding.
21 It is time to resume. I now would like to --
22 we're -- we're now running -- we're running
23 about 20 minutes behind, but I'm very confident
24 that we'll be able to catch up, and we're now
25 going to hear a report from the Subcommittee on

1 Quality Management and the VA Process
2 Integration with DTRA Test Personnel Review
3 Program. Dr. Reimann, the floor is yours.

**A REPORT FROM SUBCOMMITTEE ON QUALITY MANAGEMENT AND VA
PROCESS INTEGRATION WITH DTRA NUCLEAR TEST PERSONNEL
REVIEW PROGRAM**

4 **DR. CURT REIMANN**

5 **DR. REIMANN:** Thank you, Mr. Chairman. The
6 Subcommittee on Quality Management is pleased
7 to submit and discuss its first report on the -
8 - on its efforts to develop a quality
9 management system for the overall efforts of
10 the Department of Defense and Veterans
11 Administration.

12 Let me just briefly touch on the key aspects of
13 who we are and what we do.

14 (Pause)

15 **VICE ADMIRAL ZIMBLE:** Go ahead and proceed, Dr.
16 Reimann.

17 **DR. REIMANN:** The -- let me -- let me first
18 begin with a brief outline of our scope. I
19 think this is going to be critical to see how
20 we relate to the Board as a whole and how we
21 relate to the individual subcommittees.
22 Our subcommittee will review all aspects of
23 quality management in the dose reconstruction

1 and claims adjudication procedures used by NTPR
2 and VA. Subcommittee will also provide
3 recommendations on the integration of the work
4 performed by NTPR and VA to facilitate the
5 achievement of a quality management system on
6 all aspects of things that serve the veteran.
7 So in simplest terms, to meet the requirements
8 of the veterans and to fulfill the expectations
9 that were underlined in the 2003 National
10 Academy report, a comprehensive and integrated
11 quality management system should be designed
12 and deployed. And that, by its nature, brings
13 us into direct contact and I think cooperation
14 with the other subcommittees.

15 As we -- to just give you some sense of the
16 flow of our activities, we began by outlining
17 the scope of our work, some of the details --
18 detailed implementation, particularly for the
19 near term. We looked at the core elements of a
20 quality management system so that we know not
21 only what we're talking about in terms of
22 specific substance, but also how we relate to
23 the individual subcommittees and to the Board
24 as a whole.

25 And particular emphasis was placed on the

1 importance of all of the elements. For
2 example, relationship quality with the veterans
3 so that this is a customer -- a valued customer
4 relationship and not one of merely an
5 administrative process. We're talking about
6 procedural consistency of technical quality, so
7 that the quality comes to underscore the
8 technical reliability of the output. But also
9 dealing with the relationship. For example,
10 one can have a well-defined, clearly defined
11 process that is extremely slow and extremely
12 costly, and so we have to worry as well about
13 the efficiency, because a key requirement of
14 the veterans, and I think the aim of all of us
15 here, is to ensure that we not only be
16 technically sound but also that we be
17 responsive in the personal sense and in the
18 timeliness sense.

19 So we sorted through all those elements needed
20 to define a system, and then figured out how we
21 relate in an ongoing basis to the individual
22 subcommittees and to the VBDR as a whole. We
23 had meetings in September to set out our own
24 work plan. We also had one of our members, Mr.
25 (sic) Lathrop, serving with Subcommittee 4 on

1 communications. I participated in that meeting
2 as well.

3 We took part in some meetings in October,
4 trying to get some sense of how NTPR and VA
5 operate together. Since they are I think
6 clearly on a path of cooperation, we thought it
7 would be very important for us to sit down with
8 them and actually observe how that cooperation
9 is moving because it's going to be critically
10 important in the sense of defining a quality
11 system.

12 One member of our committee accompanied the
13 Subcommittee on Dose Reconstruction to try to
14 gather some process information and sense of
15 how that whole thing works, and we've already
16 had a report in that and I think our work
17 reflects that as well.

18 In November we had a member participate in
19 Subcommittee 2 on the claims adjudication to
20 try to gather information about how the VA
21 processes work, the routing of claims, the
22 decision processes, the record-keeping and so
23 on, all very, very critical. A member also
24 contacted the three service offices to try to
25 encourage relationships there that would help

1 other parts of the military appropriately route
2 claims that are in the purview of this Board to
3 the NTPR office. So I think it's part of the
4 spirit of outreach that I think has come up in
5 a number of ways in the discussions so far
6 today and yesterday.

7 We held a meeting with a contractor and
8 subcontractor and NTPR personnel in December
9 and reviewed -- at least at the first level --
10 their progress in implementing an overall
11 quality management system built around ISO-
12 9000, and were reviewing or attempting to
13 review the major issues centering around not
14 only process reliability but also their efforts
15 to reduce the case load.

16 And here in January we met to pull together the
17 thinking from -- and information that we had
18 gathered over the last several months to pull
19 it into a coherent package and relate it to the
20 work of the other subcommittees as well.

21 Some of our observations and what we see as
22 next steps are about as follows:

23 DTRA and VA have both been very cooperative and
24 responsive and open in addressing the VBDR
25 requests for information and data. It's been I

1 think a very realistic discussion and one built
2 around working with us to try to enhance all of
3 the -- and overcome the problems that have been
4 pointed out in the past. The summary prepared
5 by the NTPR program manager documenting process
6 milestones since 2003 were particularly helpful
7 because it gave us a picture of a sense of
8 motion in the sense of progress. It also
9 helped us to see where we should in the future
10 direct our interests and concerns.

11 We feel that the use of the so-called SPARE,
12 the Scenario of Participation, is a very, very
13 beneficial step, one that is in the best
14 traditions I think of relationship management.
15 And it ensures that there's a direct dialogue
16 with the veterans on things that are critical
17 to understanding the individual aspects. So I
18 know that in any large program, any individual
19 such as a veteran dealing with a large program
20 is always concerned with are we treated as a
21 number and so on. I think that the effort to
22 get at the specifics of the experience and get
23 the best recollection from the veteran on that
24 experience is a very, very positive step, not
25 only in the relationship quality but also on

1 ensuring the best possible and most reliable
2 possible outcome. So we feel that that was a
3 very, very beneficial step.

4 We feel that progress is being made by DTRA in
5 improving what we might call a process
6 discipline via a quality management system,
7 mainly the ISO registration. However, we note
8 that this discipline is not yet fully deployed.
9 And from listening to the very comprehensive
10 and I think valuable report this morning from
11 the Subcommittee on Dose Reconstruction, I
12 think we can see that that creation of the
13 processes, the detailed processes, have to
14 await the completion of the best understanding
15 that we can get of exactly how cases will be
16 handled and the technical requirements in terms
17 of models, input parameters, uncertainties and
18 so on, how that plays out. So from our
19 subcommittee point of view, we're not basically
20 in any sense second-guessing the expertise in
21 dose reconstruction. What we're trying to do
22 is work with them to ensure that when there is
23 agreement reached with the agencies and the
24 subcommittee on what the technical out-- the
25 best approach to a technical outcome would be,

1 we want to work with all parties to make sure
2 that that's integrated and then becomes part of
3 the operating procedure. And I think that that
4 was one of the central concerns that was
5 spelled out in the 2003 National Academy
6 report.

7 So that design, in effect, is one that takes
8 into account the -- all of the dose factors and
9 also the efforts to learn from the experience
10 of all of the cases to help expedite the cases
11 so that then we're meeting both requirements of
12 the veterans in this case, one of a reliable
13 outcome and a more timely outcome.

14 I think that there is increasing attention at
15 DTRA to the case-handling strategies, and I
16 think that that was alluded to in a number of
17 ways today and so I don't think needs further
18 elaboration.

19 We see that VA and DTRA and their contractors
20 probably need a more clear, explicit and
21 regular use of metrics and goals to drive
22 improvement. That requires more data and
23 information about the timeliness and all of the
24 key process that we see lots of bits and pieces
25 that encourage us to believe that these things

1 are coming together, but we think that if this
2 is going to be part of a more integrated
3 system, it has to be more visible and more
4 directly used at all levels. So that, for
5 example, senior managers have broad,
6 comprehensive data and can see how the overall
7 effort is going, but the individual workers can
8 direct their energies to improving and
9 accelerating the outcome and improving their
10 technical integrity of the outcome. And I
11 think also then that the more shift is toward
12 metrics and goals, the more likely it is that
13 the interface between the agency, VA and DTRA
14 and so on, will be based on a numerical
15 information and less on relationship
16 information, because it's always difficult to
17 treat every problem of this sort in terms of
18 relationship. So the issue here is show us the
19 numbers and how it's going and how can we
20 direct our energies and resources to the
21 problems in service to the veterans.
22 Very interesting that some of the things we
23 heard today, sort of cooperation in the making,
24 was the possibility of cooperating in the St.
25 Louis records office. That would offer a very

1 good way for the agencies to cooperate, and our
2 subcommittee had identified that as well as a
3 process change that, once defined, could be put
4 in terms of the ongoing working standard
5 operating procedures. And we also noted and
6 concur in the comment from this morning that
7 concentrating the efforts for atomic veterans
8 within one VARO with the reservations and so on
9 -- and provisos, I mean, mentioned by Mr.
10 Pamperin this morning, consistent with that.
11 But that would also be a very good opportunity
12 to streamline and focus and enhance the
13 relationship between the Veterans
14 Administration and DTRA on one hand and the
15 veterans on the other.
16 So that's pretty much where we're heading. We
17 feel that in the future, as DTRA has
18 opportunities via the -- via its contract and
19 subcontract management that they consider
20 building in -- adding incentives that focus on
21 the balance between technical quality and
22 timeliness so that both are achieved, and that
23 the overall metrics and management system that
24 we're all struggling to create here, that
25 better data will lead to better monitoring and

1 then more immediate and more effective
2 corrective action.

3 And I should mention here in closing that the
4 members of the Quality Subcommittee -- Kristin
5 Swenson, Dave McCurdy and John Lathrop. And
6 John, as I mentioned, does double duty with the
7 communications team.

8 So Mr. Chairman, that's our summary of our
9 report. I think it should be appreciated by
10 those listening that we have a delicate
11 balance. We're critically dependent on the
12 technical competence of the three subcommittees
13 and also mindful of the fact that even though
14 we're looking at systems and the Board as a
15 whole is looking at systems, our niche in that
16 is much more oriented toward the ongoing
17 management of processes and systems, and that
18 the Board as a whole has a much larger role
19 which also depends upon the agencies operating
20 as a system.

21 **VICE ADMIRAL ZIMBLE:** Thank you very much, Dr.
22 Reimann. That's very helpful.

23 As I understand it, in order for this Board to
24 carry out its oversight functions, there is a
25 need to have standard operating procedures,

1 metrics and goals, and incentives in place so
2 that there is an auditable -- an audible --
3 auditable trail that -- that we can -- that
4 will allow us to assess the quality of the --
5 of the process, both at the Veterans
6 Administration and at DTRA. And you know, I
7 think it's -- it's -- it's rel-- it's -- it's
8 timely that -- that the -- there's a process
9 underway right now to let a -- to renegotiate
10 the contractual arrangement with the -- the
11 people who are going to be doing the work. And
12 I think your suggestion that it include
13 standard -- that the -- there be a negotiation
14 for a standard operating procedure that can be
15 well documented, that there be metrics and
16 goals in place so that the -- so that the -- it
17 can be well assessed, and that there be the
18 incentives that would enhance efficiency can be
19 incorporated. So I thank you for those
20 suggestions.

21 **DR. REIMANN:** Yes, that was the case that we're
22 trying to make, and something that I want to
23 make sure it's a case that we're not making,
24 and that is that the agencies and contractors
25 and subcontractors are mindful of this, are

1 working on it and that in every parallel
2 situation I've ever seen in my life, these are
3 very, very difficult tasks. And these tasks I
4 think are far above average in degree of
5 difficulty because of the number of very
6 sensitive judgments and the incompleteness of
7 the records, which is not a -- not a fault of
8 any of the individuals here. It's something
9 that goes way back in history. So the question
10 is on documentation accuracy and data accuracy
11 and so for, how can that be -- how can that
12 record be constructed as rapidly as possible
13 and then be used to further leverage the
14 learning so that we accelerate rather than bog
15 down.

16 **VICE ADMIRAL ZIMBLE:** Right. I think your
17 subcommittee report will be very helpful in
18 that regard.

19 Dr. Vaughan, do you have any comments?

20 **DR. VAUGHAN:** No. No, thank you.

21 **VICE ADMIRAL ZIMBLE:** All right. Anyone else
22 have any -- there we are. All right. Dr.
23 McCurdy.

24 **DR. MCCURDY:** I just wanted to add a -- not a
25 clarification, but in addition to what we had

on this last paragraph, have a little discussion on this. Not only we -- we feel that incentives should be included in any of the subcontracts being awarded, but also in the scope of the statement of work that the subcontractor, since we're looking at future multiple subcontracts doing dose reconstruction, that they really would have to have a quality assurance program, which would be integrated into the ISO-9000 DTRA (unintelligible). That's very important also, because right now they are working -- the current subcontractors are working on getting the QA program to be -- to come into ISO-9001, but it isn't there yet. So we know that that is an area that they're working on, they're improving on. But if you're going to have more contracts let, make sure that's part of the statement of work, that they have to have a QA program that fits in with what you have.

21 **VICE ADMIRAL ZIMBLE:** Okay, thank you very
22 much. Any other comments?

23 (No responses)

24 All right. Let's move on to the report from
25 Subcommittee Number 4, Mr. Groves.

1

A REPORT FROM SUBCOMMITTEE ON COMMUNICATION AND OUTREACH

2

MR. KENNETH GROVES

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

MR. GROVES: Good morning, everyone. I would first like to recognize the other members of the Subcommittee on Communication and Outreach, and they are John Boice, sitting to my right; and John Lathrop, who you have heard is doing duty on two of the subcommittees; Elaine Vaughan, who is with us on the phone; and Colonel Ed Taylor.

It is -- as you heard this morning, we have adjusted the scope and purpose of our subcommittee to include not only dealing with the veterans, but working communication and outreach issues within the Board itself. And I think we have been successful in that, and given the discussion that I had with you earlier about to a certain extent our committee depends upon the other subcommittees, as a part of their deliberations and work, to identify communication-related issues that then we can work with them on. And we're doing a lot of that at this meeting, as we've heard. We somewhat focused our work in September, which was our subcommittee meeting in Bethesda,

1 on looking at some of those internal issues,
2 and I would like to go over what some of those
3 are for you this morning.

4 We had the pleasure of meeting with the web
5 master for the VBDR.org web site, which is I
6 believe an excellent web site from the get-go,
7 and we had the opportunity to meet with the web
8 master and to add some additional attributes to
9 the web, which we think will pay some benefits
10 to us. And one of those has already, in that
11 we suggested that there be a way to track
12 people who went to the web site and what types
13 of things they -- which hot links they went to
14 as a way to see how -- to see if we could
15 measure what were the attributes on the web
16 site that were more useful to the veterans.
17 And we have received, within the last week or
18 so, the first data dump from that process which
19 our subcommittee will be analyzing. So we
20 still believe that the web site is certainly
21 the most timely way to share information from
22 the Board, and we will continue to work with
23 both NCRP as the secretariat and other members
24 of the Board on ensuring that the web site is
25 in fact always up to date and the way for

1 people to get information.

We also -- looking at different ways to communicate with the veterans community, and we had Colonel Taylor, on our behalf, help -- along with DTRA and NCRP -- build a list of veterans' organizations, to whom we have shared our press releases for this meeting and the agenda. And I don't know that we have a way to measure the effectiveness of that yet, but certainly we are going to explore every possibility of what avenues we can use to reach this community, which is estimated to have had up to 400,000 people be potential beneficiaries. And so a lot of those may not still be with us, but it is our goal to reach out to each and every atomic veteran through whatever means we can devise to let them know of the existence of the Board, what it is we could do, and certainly encourage them to attend the meeting and participate, as many of them have and hopefully will continue.

We were asked by the Board for the Communication and Outreach Subcommittee to work some of the details on the meeting locations and dates, and we have done that. And of

1 course that culminated in the meeting here in
2 Los Angeles, and our next meeting which will
3 take place in Austin, Texas later in June. And
4 as you will remember, the criteria we initially
5 established for meeting locations was to try to
6 take our group, the Board, to locations where
7 there were concentrations of veterans, a subset
8 of which would be atomic veterans. And we
9 certainly think it's clear that the states of
10 Florida, California and Texas meet that
11 criteria and that's the basis for those being
12 the locations where we will have held our first
13 three meetings.

14 We worked on establishing, at our meeting in
15 September, a protocol that the Communication
16 and Outreach Subcommittee would use in
17 assisting the secretariat on handling press
18 releases, requests for information. Those have
19 seemed to have worked well and we certainly
20 appreciate the input we've had in that process
21 from the folks at NCRP, who are essentially the
22 two full-time people who react to questions
23 that are made either through the web site or
24 through direct telephone calls to the 800
25 number which has been set up for the Board.

1 We have, as a way to provide some consistent
2 information through the membership of the Board
3 to veterans' organizations or others that might
4 want to know more about the Board, have put
5 together a draft PowerPoint presentation, which
6 is essentially a summary of the charter and the
7 activities of the Board. It also gives a brief
8 description of the activities of DTRA and the
9 Department of Veterans Affairs on how claims
10 are handled. And this is in its final stage.
11 It will go to the chairs of the other
12 subcommittees to vent through their -- their
13 subcommittees to ensure that we're saying the
14 right things about what we are doing as a Board
15 and what the individual subcommittees are
16 doing. The purpose of this was that if any of
17 us on the Board are asked to talk about Board
18 activities, that we would have a consistent
19 message to deliver on behalf of the Board. And
20 so we believe that this is in its essential
21 final stage of development, and hopefully
22 within the next month or two we will have had a
23 chance to circulate it among the Board, get
24 your input, finalize it and then have it
25 available for any of the Board members, should

1 you be asked to talk about the functions of the
2 Veterans Board on Dose Reconstruction.
3 We have also taken advantage of some of the
4 documentation that our sister organization has,
5 and I particularly want to point to the work
6 done by the Advisory Board on Radiation and
7 Worker Health, which is the board that has a
8 function similar to ours for Department of
9 Energy employees. And Admiral Zimble asked me
10 to attend one of their meetings, which I did.
11 And for those of you that don't know, they have
12 been around for a couple of years now, and in
13 fact they have had 30-plus meetings of their
14 board and certainly have a lot of experience
15 that we hoped to gain from from them and what
16 they had done in working with their stakeholder
17 community. And one of the things that I found
18 very useful at their meeting was a number of
19 very straightforward fact sheets written in lay
20 terms which seemed to be very useful to the
21 folks who were beneficiaries of that. And so
22 in our subcommittee we have taken these facts
23 and -- their committee also deals with the
24 issue of probability of causation and dose
25 reconstruction, so they had already done some

1 very good work on describing some of those
2 activities of their board, and we're just going
3 to plagiarize it as best we can and make it
4 unique to ours, but we will be providing this,
5 as well, as an additional tool to communicate,
6 hopefully effectively, the kinds of activities
7 that the Board does and stimulate questions and
8 access to us for more information as needed.
9 So we are again in the final stage of
10 development of those fact sheets and we will
11 again vent those through the rest of the Board
12 before they are finalized.
13 We did have a discussion with the Veterans
14 Administration bec-- or pardon me, the
15 Department of Veterans Affairs because it would
16 be through some of their facilities that the
17 fact sheets -- that would be a good location to
18 stockpile the fact sheets, so that as veterans
19 come in and have questions about anything
20 related to the atomic veteran community, they
21 could access those. And so they may show up in
22 the form of individual fact sheets. They may
23 also show up as a collection of fact sheets in
24 a brochure. But whichever way they show up,
25 they are a means to take advantage of where the

1 veterans are and how better we can communicate
2 to them the functions of the Board.
3 We expect our future actions to include
4 continuing to work with the other
5 subcommittees, as you heard this morning, as
6 they develop any product that communicates what
7 it is that their subcommittees are doing to
8 coordinate that in a way that there is a
9 consistent message from the Board; to complete
10 the fact sheets to finish the presentation; to
11 take advantage of the information we're
12 collecting on who is visiting the web site and
13 try to continue to make the web site a useful
14 tool to the veterans; and to work as we
15 continue to develop meeting sites and locations
16 that best enable us to deal with and meet the
17 veterans who are in fact interested in the work
18 that we do.

19 So I think that that is -- are the activities
20 that our subcommittee has been involved in and
21 will continue to be involved in. I will say
22 that one of the things that I heard at this
23 meeting would tend to make me want to be
24 interested in maybe developing some oral
25 histories from some of the veterans themselves

1 who have participated in the tests and the --
2 and were in the Army or Navy, folks who were in
3 the occupation of Hiroshima and Nagasaki. I
4 think that we have a wealth of knowledge within
5 those individuals. We all know we're dealing
6 with an aging veterans community, and I think
7 there's just some wonderful information that
8 would be of use to us and other veterans if it
9 was collected in a way and would be available
10 to other potential beneficiaries.

11 So Admiral, that is -- that's our presentation.

12 **VICE ADMIRAL ZIMBLE:** Thank you very much.
13 It's a very -- very complete report of your
14 activities and your future plans. It's --
15 you're -- you've been extremely ambitious. I -
16 - I commend the -- all the members of the
17 committee and -- and your efforts so far.
18 Colonel Taylor.

19 BOARD MEMBERS QUESTIONS AND DISCUSSION

20 **COLONEL TAYLOR:** (Off microphone)
21 (unintelligible) (on microphone) I think there
22 are two areas there that we may need a little
23 help on, but I think they're very valuable to
24 us. One is the development of a PowerPoint
25 presentation in that we, as individual members

1 of the Board, may be called on to speak to
2 certain organizations, both veterans'
3 organizations, civic organizations and other
4 type, and the development of a concise, fairly
5 standard presentation that's available to the
6 members will be very valuable to us.
7 The other thing involves what was spoken of in
8 the idea of an oral history. And in thinking
9 through that, I have been dealing with a man
10 named Tom Weiner, who just published a book.
11 He happens to be the historian of the Veterans
12 History Project, which is also mandated by
13 Congress, run by the Library of Congress and
14 the American Folk Life System. And they
15 literally have thousands of veterans' histories
16 and interviews that have been professionally
17 acquired. I know my own county is very much a
18 member of that. We've been feeding them
19 information for years. I plan to turn to Tom
20 Weiner, rather, and ask him what he can do to
21 help us in sorting out of that veterans who
22 have literally atomic background, and may can
23 help us provide -- give us some of that
24 information without us having to go get it,
25 because they've been working at it for years

1 and they're pretty good at it. So those kind
2 of things I think will make a difference to us
3 because the communications and outreach
4 committee realizes that and I feel a little bit
5 concerned with listening to veteran after
6 veteran, both in our public comment and to us
7 individually and working with the Veteran
8 Service Officers, that oft of them have a
9 degree of disappointment. And it generally
10 comes out from length of time in the response
11 and often how they were responded, which I
12 think our fact sheets and so forth may help
13 overcome that. Because if we can turn that
14 sort of anti-VA dose reconstruction -- how many
15 times have you heard we need to eliminate dose
16 reconstruction out of the veterans? You've
17 heard it several times in these meetings. If
18 we can eliminate that, or at least make that a
19 little bit more generally understood, it will
20 make it easier, because when a veteran comes in
21 with a preset notion that he has not been
22 treated fairly or equitably, that is hard to
23 turn around. Let's face it, it is very
24 difficult. And if we can do it at the outset
25 with our responses and our replies, it makes a

1 difference. And I say that in all candor to a
2 group of people that I know have a tremendous
3 capability to do that. And thank you for the
4 opportunity.

5 **VICE ADMIRAL ZIMBLE:** Okay, thank -- thank you,
6 Colonel. Dr. Vaughan, you have any comments
7 regarding this fourth subcommittee report?

8 **DR. VAUGHAN:** Only to say that what we're
9 calling communication issues actually cut
10 across many aspects of decision-making and
11 mismanagement. So there are many goals for
12 communication, from instructing and informing
13 to facilitating decision-making. And so I
14 think our subcommittee's contribution will be
15 in multiple areas. And you know, I -- I think
16 that Mr. Groves gave a wonderful overview of
17 how we could be helpful to other subcommittees
18 as well.

19 **VICE ADMIRAL ZIMBLE:** Very good, thank you very
20 much.

21 Are there any -- any other comments of the
22 Board? Ah, yes, Dr. Swenson.

23 **DR. SWENSON:** I just have two things. One is a
24 question. The fact sheets that you're
25 preparing, are they on what VBDR does or are

1 they on the process that the veterans -- you
2 know, that their claim goes through so that
3 they can understand it better?

4 **MR. GROVES:** The fact sheets as we currently
5 would see them are a way to describe some of
6 these unique functions that happen during the
7 process, like dose reconstruction and what the
8 probability of causation methodology is all
9 about. As we explore ways to streamline the
10 process and ways to make it more understandable
11 to the veterans, there may be additional fact
12 sheets, like the process, even though I don't
13 want to usurp the authority of the Veterans
14 folks, but I think we can work with them to
15 help facilitate a better understanding of what
16 these critical parts of what the different
17 steps are and how better to help the veteran
18 understand what they are and what it entails to
19 do them, so...

20 **DR. SWENSON:** And my second item, it's not a
21 question, but the National Atomic Museum in
22 Albuquerque also takes oral histories. They
23 primarily were doing it -- and I don't know if
24 you, Paul, went with -- when we were both in
25 the service, but they were primarily I think

1 were talking to weaponeers, because they wanted
2 to get that information down before they'd all
3 retired or moved on. But they may be
4 interested in taking oral histories of, you
5 know, participants. So I'm not sure that would
6 be another avenue to look into, because they
7 also can take any kind of secret -- you know,
8 part of their inform-- their oral histories are
9 classified and then part probably aren't.

10 **MR. GROVES:** I appreciate that, and yes, I am
11 aware that that is a location, as is the new
12 Atomic Testing Museum in Las Vegas. Both of
13 those sites are interested in this, and then as
14 Colonel Taylor said, the history project is
15 going to be another place. And I'm not looking
16 to reinvent the wheel here, but I am interested
17 in making sure that that subset of the veterans
18 community that are the atomic veterans -- you
19 know, we have -- we have some history from them
20 through these different projects. Thank you
21 very much.

22 **VICE ADMIRAL ZIMBLE:** All right, thank you.
23 Dr. Zeman.

24 **DR. ZEMAN:** Thank you. Ken, I have a question.
25 I think you've probably noticed as well as I

1 that our meeting room here is not filled to
2 capacity. And I would like to ask what ideas
3 your subcommittee has with regard to publicity
4 and publication to get it -- better get the
5 word out so that veterans are aware of our
6 meetings and so that our meetings are
7 accessible so that we can play to a packed
8 house, and get the information out to the
9 veterans in each of the areas that we go to.
10 It's disappointing to me to come here to L.A.,
11 and I know there must be hundreds or thousands
12 of veterans in the area that would be
13 interested in what we have -- what we're doing
14 here, but -- but yet I see only a few that have
15 shown up.

16 Speaking of those who have shown up, I see Mr.
17 Clark is in the audience, just came in, and I
18 believe he was the one who got probably the
19 most publicity for -- of all of us in Tampa by
20 the television interview that he had when we
21 were down there. So maybe there's some way
22 that we could partner with the veterans'
23 groups, with individual veterans, and reach the
24 local communities before we have our meetings
25 there and try to improve participation.

1 **MR. GROVES:** I couldn't agree with you more,
2 Gary. And I think that that is going to be one
3 of the challenges, is to -- how to reach out to
4 a very small number of people in a great field
5 of veterans. But that is the challenge we're
6 willing to take on and we want very much -- I
7 think by choosing the meetings in a location
8 where there are lots of veterans is a place to
9 start, but obviously we haven't been able to
10 reach the veterans within that geographical
11 area as effectively as we would have liked to
12 have done, so...

13 **VICE ADMIRAL ZIMBLE:** I see we have Dr. -- Ms.
14 Irene Smith here, who is the public affairs
15 specialist from DTRA, who has been extremely
16 supportive of our activities, and I -- I invite
17 your comment.

18 **MS. SMITH:** Thank you, sir. Sir, just to give
19 you an example of some of the outreach that we
20 have done to bring people to today's
21 conference, as well as yesterday, we sent out
22 press releases to 48 media and veteran
23 organizations in Nevada, Utah, Arizona and
24 Oregon. We sent out 49 of the press releases
25 to California veterans organizations, which I

1 can show you copies. We also sent out the
2 press releases to 40 media listings in Long
3 Beach, Los Angeles, San Diego, San Francisco,
4 Sacramento -- and these consisted of both TV,
5 print and radio media outlets. Last Friday I
6 spoke to the military editor from *The Los*
7 *Angeles Times*, *The San Diego Union*, *The North*
8 *County Times*. All of them have expressed
9 interest in coming out and visiting, taking
10 interest in this matter. I can't pull them out
11 of thin air here. I'm sorry, I wish I could.
12 I also had an e-mail on January 5th. I went
13 ahead and contacted the *Military Officers*
14 *Magazine*, asking them to come out here, cover
15 our meeting. This I thought might fall into
16 their constituents' interest. The reply I
17 have, and I'll be happy to show it to you on my
18 Blackberry, it did not meet our current
19 editorial needs.

20 I am open for suggestions. We have -- and oh,
21 one more thing. Isaf sent these press releases
22 out -- we didn't send them out just once. We
23 sent them out twice, and the most recent being
24 last -- last Thursday.

25 **DR. ZEMAN:** Thank you very much. I will admit

1 I looked at the MOAA, the military officer
2 magazine, the latest issue, thinking oh, surely
3 we were going to be in there, so I -- I --

4 **MS. SMITH:** Sir, if you know somebody on the
5 editorial board that can twist their arm, I'll
6 go ahead and contact them.

7 **DR. ZEMAN:** Thank you so much for all the
8 effort that you did put in. I in no mean --

9 **MS. SMITH:** No --

10 **DR. ZEMAN:** -- in no way meant to -- meant to
11 denigrate the excellent efforts, and I have to
12 admit I was not aware of everything that was
13 done.

14 **MS. SMITH:** No offense taken, it's --

15 **DR. ZEMAN:** This is -- this is marvelous what
16 you've done. Why hasn't it worked? I -- I'm -
17 - I can't understand. If I were in the
18 population, I -- I would -- and if I saw the
19 communications, I would come, so I -- I don't
20 understand why they're not all here.

21 **MS. SMITH:** Sir, it's often the nature of the
22 media for other events to be -- for interesting
23 events to be overcome by other events.

24 Reaching out to the reporters directly is the
25 best way I'm aware of. We looked into it. We

1 also have Tom Philpott, who is military on
2 line. He has expressed an interest in talking
3 to Admiral Zimble, which we are going to
4 arrange at a later date. We also had
5 tentatively planned to have Admiral Zimble do
6 an on-line interview with Terry Moran from
7 Nightline News. Terry Moran is a neighbor of
8 Dr. Schauer. He -- Terry Moran offered to do
9 this out of the goodness of his heart. Due to
10 other contingency issues, we've had to postpone
11 that interview, but Terry Moran very much wants
12 to come back, talk to Admiral Zimble. Once we
13 -- and when this takes place we're going to do
14 it at the Navy Media Center in Anacostia,
15 Washington, and we will put that on-line on the
16 VBDR site.

17 **VICE ADMIRAL ZIMBLE:** Okay, thank -- thank you
18 very much. That -- the only suggestion I could
19 make, Irene, is to pick slow news days to send
20 out things. But I would like to mention that
21 there's a very nice article about the Board,
22 it's -- with an Irene Smith by-line, that's
23 being published in *The DTRA Connection*. I
24 would suggest that that be made available to
25 the Veterans Administration for their

1 publication on ionizing radiation.

2 Okay, are there any other comments? Oh, yes,
3 Colonel Taylor.

4 **COLONEL TAYLOR:** (Off microphone)

5 (Unintelligible) in line with this public
6 information and media drive to get veterans to
7 attend, is there anything in what we do that
8 can be communicated fairly that says something
9 to the effect that we are reconsidering or
10 trying to expedite the claims process to be as
11 fair as possible? I think if I were reading a
12 news release that said they're going to have a
13 meeting of this Board in an area that I could
14 attend, and the Board very definitely is about
15 trying to make this system fairer and more
16 inclusive and better and quicker for the
17 veteran, I would be more intend to make effort
18 to come here. Now look, we've had people from
19 Hawaii, from Alaska, from all around that have
20 taken the expense to come here and appear, and
21 I congratulate them. But it is a small group
22 of people that are themselves mostly oriented
23 into veterans organizations. Very few of them
24 come only as individuals. But we need to kind
25 of tailor our appeal and our announcement and

1 our statements as to where we are and why we're
2 meeting to let people understand a little bit
3 more of what we're about, and we may get a
4 little better attendance value on it. I only
5 offer that as a suggestion.

6 **VICE ADMIRAL ZIMBLE:** Okay.

7 **COLONEL TAYLOR:** And looking at it from a
8 veteran's standpoint, that -- that's important
9 to me. Thank you.

10 **VICE ADMIRAL ZIMBLE:** Right on. Dr. McCurdy.

11 **DR. MCCURDY:** I have a question for the Chair.
12 Is it the charter of the Board to actually
13 provide communic-- education to the veterans --

14 **VICE ADMIRAL ZIMBLE:** No.

15 **DR. MCCURDY:** -- or is it for us to
16 recommend...

17 **VICE ADMIRAL ZIMBLE:** Yeah, the charter for the
18 Board is for us to look at the communications
19 that have been developed by the agencies --

20 **DR. MCCURDY:** Correct.

21 **VICE ADMIRAL ZIMBLE:** -- and to -- and to offer
22 recommendations in that regard. But there's
23 nothing -- there's also part of the charter
24 that says "other things" that we may feel are
25 appropriate. And I think it's important for us

1 to let -- let -- to -- to communicate what the
2 efforts of the Board are and -- and the
3 advocacy of the Board, and so finding other
4 ways to help facilitate communication I think
5 is within -- is -- is -- it's within our domain
6 to do that. Although --

7 **DR. MCCURDY:** Okay, I'm --

8 **VICE ADMIRAL ZIMBLE:** -- not -- it's not
9 specifically mentioned in the charter.

10 **DR. MCCURDY:** Right. When we're developing
11 these fact sheets I think we have to keep that
12 in mind, that we're not -- we're not really
13 usurping the responsibility of the agencies --

14 **VICE ADMIRAL ZIMBLE:** Right, absolutely, and --
15 and --

16 **DR. MCCURDY:** -- to do that type of thing.

17 **VICE ADMIRAL ZIMBLE:** -- any fact sheet that we
18 develop we'll -- we'll pass by the agencies to
19 -- to ensure that we're not sending out
20 contradictory information and that nothing goes
21 out without their -- without their support and
22 approval.

23 **DR. MCCURDY:** Okay, I also have a suggestion
24 that -- which would be very -- if -- if you're
25 going to have information concerning the Board

1 as well as the process -- I mean this -- this
2 nebulous dose reconstruction and dose
3 conversion factors and how it's all done, one
4 of the aspects that really doesn't come across
5 is the -- looking at the radiation risks
6 compared to the other risks. Even at the -- we
7 had some high level, very well-known scientists
8 here at this meeting presenting material, which
9 I'm sure this went right over the head of most
10 people in the audience, and I think it'd be
11 better if you put things in perspective that
12 they understand and say, as you pointed out, by
13 the time you're 60, you're going to have
14 prostate cancer. It may not be -- got to a
15 stage where it's diagnosed, but everyone's
16 going to have it.

17 Now, okay, what are the probabilities of
18 getting these different cancers with -- and
19 then what is it with respect to radiation. I'm
20 sure the general audience doesn't know this,
21 and it'd be nice to have, either at this -- the
22 next meeting something that audience can
23 understand about the whole process, rather than
24 some high-level things for the Board.

25 **VICE ADMIRAL ZIMBLE:** One of the last topics on

1 our agenda today is to discuss future
2 presentations before the Board, and I couldn't
3 agree with you more. We need to put some --
4 some level of realism into the threat of
5 ionizing radiation, as opposed to all of the
6 other noxious elements that -- that we are
7 faced with on a day to day basis. I think it's
8 very important. I think that we have a public
9 that has some misapprehension as to what radi--
10 what ionizing radiation is all about and -- and
11 what the levels of -- of threat are. When --
12 when I -- when I talk to individuals who are
13 afraid of purchasing radiated foods because of
14 their concerns that there's some health risk,
15 when in fact it's the least health risk of any
16 food that you could purchase, so it's a
17 question of basic education. And a lot of it
18 is re-education. There's -- so trying to allay
19 some of the misinformation that -- that
20 currently exists in the population, so I -- I'm
21 very much in favor of that and -- and I think
22 we -- we -- we need to discuss that when --
23 when -- before this meeting is over.

24 **DR. MCCURDY:** And even the fact sheets may want
25 to have something...

1 **VICE ADMIRAL ZIMBLE:** Okay, right. Okay, thank
2 you very much. Any other comments? Yes, okay.
3 Dr. Swenson.

4 **DR. SWENSON:** Along that same line, the VA --
5 and maybe Tom could enlighten us -- they may
6 have fact sheets similar to that, like you
7 said, and maybe those should be reviewed and
8 they should come from the VA, or you should
9 recommend that the VA do some fact sheets
10 similar to that on those topics. And my guess
11 is you might have -- maybe not the exact thing,
12 but similar type information.

13 **MR. PAMPERIN:** Actually there isn't anything in
14 VBA, in the benefits side, that would
15 correspond to that. There is the mailing from
16 Veterans Health Administration, but clearly we
17 do a lot of fact sheets. They tend to be more
18 toward -- well, actually toward specifically
19 categories of vets, and atomic vets are a
20 category of vets, so it's something that we
21 could clearly look into, I (unintelligible).

22 **COLONEL TAYLOR:** Also in line with the business
23 of education and re-education, Ken Groves and I
24 came out a day early and we went to the Los
25 Angeles County Veterans Center, and in the

1 process probably the one thing that Ken told
2 them they were there was he gave them the web
3 site, and before we left two or three of the
4 staff on the computer reading the web site to
5 getting the agenda of what we're doing here and
6 why. It's that quick and that effective. And
7 whoever came up with that web site, it is a
8 very definite benefit to this committee and
9 being able to explain it. In this case you're
10 explaining it to veterans who deal with
11 veterans' organizations, and they really I
12 don't think were that much aware of it, do you,
13 Ken? I know they picked it up immediately and
14 responded to it. But it -- those things will
15 help us tremendously. I can imagine how
16 frustrated Irene is because of what she was
17 doing and the result she got. Thank you.

18 **VICE ADMIRAL ZIMBLE:** Right. Thank you very
19 much. If there are no other -- yes.

20 **DR. MCCURDY:** One follow-up on that. Does each
21 of these veteran organizations, local
22 organizations, all have e-mail addresses where
23 --

24 **COLONEL TAYLOR:** Oh, yeah.

25 **DR. MCCURDY:** And you sent out a blanket e-mail

1 to all them about the announcement? Okay.

2 Thank you.

3 **COLONEL TAYLOR:** (Off microphone) How many have
4 you got on the list now (unintelligible)
5 several hundred organizations (unintelligible)
6 several (unintelligible).

7 (On microphone) It's probably several hundred
8 organizations that list e-mail addresses, mail
9 addresses, national publications, American
10 Legion magazine, Army magazine, MOAA -- those
11 kind of communicating to veterans publications
12 that we try to center our effort with, and it
13 does make a difference. It makes a big
14 difference. I can walk into -- to Admiral
15 Ryan's office and they immediately know who we
16 are and where we are and what we're doing
17 because they've been dealing with it, and she
18 said I'm going to actually talk to the editor
19 of the -- either the affiliate or the other
20 magazine they publish and see what happened,
21 but that -- that we will do. But that's the
22 way it works.

23 **VICE ADMIRAL ZIMBLE:** Okay. Well, I'm pleased
24 to say that we are back -- we are back on
25 schedule. We're going to adjourn for lunch and

1 we will have public comment, as scheduled on
2 the agenda, beginning at 1:30. Okay? So --
3 and certainly invite as much public comment as
4 we can get at that time.

5 (Whereupon, a recess was taken from 11:50 a.m.
6 to 1:35 p.m.)

7

8 PUBLIC COMMENT SESSION

9 **VICE ADMIRAL ZIMBLE:** Ladies and gentlemen, it
10 is now 1:35, so we're -- we're starting off
11 five minutes behind, so I'd like to call this
12 meeting to order. I have -- I have a list of
13 four individuals that wish to make comments.
14 I'm going -- and I understand, Mr. Clark, that
15 you have -- you have to get away, so let me
16 start with Mr. Charles Clark from Hawaii.
17 Aloha.

18 **MR. CLARK:** We say to you folks Haù oli
19 Makahiki Hou, happy new year.

20 **VICE ADMIRAL ZIMBLE:** Okay. Thank you.

21 **MR. CLARK:** Thank you, Admiral. Thank you,
22 Board. Thank you for this opportunity to come
23 forward again, having met you in Tampa, and I
24 certainly appreciate the opportunity to come
25 again.

1 Today I'd like to just address, if I may,
2 please, there's four items and they're very
3 brief, one being beta radiation. I would like
4 to bring the Board's attention to the fact that
5 in our *Green Book* we have a citation which
6 provides information relative to beta as it
7 relates to the skin. And unfortunately I've
8 received dose reconstruction from our people
9 saying that they're referring to gamma only. I
10 think we need to exercise our prerogative. The
11 book says it started in 1998. We should have
12 that endorsement and make sure that we have
13 beta included in the veterans' information as
14 it passes down. Very important.
15 The second item which -- the second item which
16 I would like to address would be the water and
17 the contamination of such in the Nishijima
18 Reservoir during the per-- actually the periods
19 of September to mid-October, 1945. That
20 potable reservoir, we drank from it, we bathed
21 in it, we ate food which was contaminated
22 coming from it. Not only the water in the
23 reservoir, but the fugitive water coming down
24 through the streams over those bedrocks, which
25 were also contaminated. I've never seen

1 anything relative to conditions of water at
2 Nishijima.

3 The third item comes from Guam. Living out in
4 the Pacific, I hear from them twice a week. I
5 hear from other islands in the Pacific. Guam
6 has a condition where they're asking the Board
7 to consider perhaps lengthening the period of
8 time wherein the construction of the -- I'm
9 using the word trash disposal -- all of the
10 remains of the test series were excavated and
11 buried on Enewetak Island. Today that island
12 is probably the hottest in the entire Pacific.
13 The dome is leaking. But because they don't
14 meet the time criteria as provided within our
15 scope of work, they're not able to receive
16 justice, I call it, at the regional offices in
17 Honolulu or any other office where these
18 veterans were living today. It's a unique
19 problem. They have problems. We have three
20 people on Guam right now. In fact one may be
21 in -- hopefully he's in Honolulu today. He has
22 serious heart problems. And incidentally, they
23 pay their own way, so --
24 But the other item which is quite close to my
25 heart, and I would like for the Board to take

1 in consideration something that we need to
2 perhaps understand better in our communications
3 with the veterans in our community, that
4 community being the widow. I would like to see
5 the Board adopt a policy wherein the widow
6 would have her rights to come address you with
7 her problems -- because they do have them,
8 incidentally; they have severe problems --
9 address you orally or in writing, the widows of
10 atomic veterans.

11 Admiral, that's it.

12 **VICE ADMIRAL ZIMBLE:** Well, Mr. Clark, let me
13 answer that last one.

14 **MR. CLARK:** Surely.

15 **VICE ADMIRAL ZIMBLE:** They have that right.
16 This is a public hearing, and it's open to
17 anyone in the public to make comments, or to
18 send us information by e-mail or by -- by snail
19 mail.

20 **MR. CLARK:** Perhaps then we -- you need to put
21 it out, inviting in such a way -- I right now
22 have a lady for whom her husband expired eight
23 years ago, and she has been told by the VA that
24 she has to prove that he was in an operation
25 where radiation was -- the lady can't prove

1 that. She's a widow. They didn't share these
2 things on a white pillow, 50 years of secrecy.
3 So we need perhaps a better communications
4 tool, I'll use the word.

5 **VICE ADMIRAL ZIMBLE:** Okay. Yeah, made -- the
6 other comments that you've made, I would like -
7 - I'd like Dr. Blake to address them 'cause I
8 think -- I think he can -- he can give you some
9 substantive answers on some of those.

10 **DR. BLAKE:** On that first issue on the lack of
11 beta dosimetry on a particular dose
12 reconstruction, I'd have to see the specific
13 dose reconstruction. In all cases I know, we'd
14 look to account for that. There may be cases
15 where it was not an important factor, but if
16 you could provide a copy of your documentation
17 to the Board, I'll be happy to provide you a
18 written response --

19 **MR. CLARK:** I would be --

20 **DR. BLAKE:** -- on that one.

21 **MR. CLARK:** I have a copy here.

22 **DR. BLAKE:** Okay, that'll be great, and I can -
23 - I'll take that for action.

24 On the second issue on the reservoir with
25 regards to Hiroshima/Nagasaki, we have done

1 some reports on it. But once again, I'd be
2 happy to -- if -- with your comments that we've
3 had -- come back and provide a response, too,
4 on that one.

5 **MR. CLARK:** Dr. Blake, then let me assure you
6 that I'm in communication with the Mayor of
7 Nagasaki in their archives and I will have that
8 perhaps within the next two weeks --

9 **DR. BLAKE:** Oh.

10 **MR. CLARK:** -- and I'll pass it back to you.
11 The Mayor -- Nagasaki maintains a tremendous
12 archive as it relates to our problems, so I
13 will pass that back to you, with your
14 permission.

15 **DR. BLAKE:** Thank you, Mr. Clark.

16 **VICE ADMIRAL ZIMBLE:** I'd like to ask Mr. Beck
17 if -- if he doesn't have a word or two to speak
18 to the subject. As I recall our visit to the
19 subcontractor, we were told that they use a
20 worst case scenario for the -- this -- the
21 incidents of bathing and drinking the reservoir
22 water. Is that not correct?

23 **MR. BECK:** No, that -- that's correct. There
24 is a report that's the basis for the
25 calculations that are made for the occupation

1 forces, and it does cover this whole subject.

2 It does do estimates of doses from drinking the
3 water, from swimming in the water. It's part
4 of the analysis.

5 The subcommittee will be looking at that again
6 just to make sure, since it's one of the
7 templates that have been developed, so we will
8 be reviewing that. But the data is there. We
9 know what they're using and we will be
10 reviewing it again.

11 **MR. CLARK:** Let me assure you I have
12 documentation from the occupation forces up
13 through mid-October which goes back to their
14 COs saying that the wa-- the lake was still
15 contaminated through mid-October, 1945. So we
16 were there a little ahead of that and that may
17 be some of our problems.

18 **MR. BECK:** We'll have to look at the --

19 **MR. CLARK:** Yes.

20 **MR. BECK:** -- the values that --

21 **MR. CLARK:** Yes, sir.

22 **MR. BECK:** -- we were using in the calculation
23 --

24 **MR. CLARK:** I understand.

25 **MR. BECK:** -- of the doses. It's not a

1 question of whether it was contaminated, but
2 what effect it had.

3 **MR. CLARK:** Appreciate it.

4 **MR. BECK:** We will look at that.

5 **MR. CLARK:** One other item, Admiral, if I may,
6 please. The veteran has a problem in this
7 relationship -- this actually is addressed to
8 the VA. A veteran forms his claim for dose
9 reconstruction, goes to the VA and goes on over
10 to the DTRA for does reconstruction. In the
11 interim -- I've been waiting ten years myself.
12 In the interim, we file claims. I have a hole
13 in my retina, I have -- my hearing is gone --
14 file claims and they sit on top of that
15 particular claim pending review by the VA at
16 some point in time in the future. I've been
17 waiting now two years, and the RO in Honolulu
18 can do nothing without that claim, so we have
19 that problem, too.

20 **VICE ADMIRAL ZIMBLE:** Okay. Well, it -- that --
21 that information is now on the record. We
22 have a representative from the V-- from the VAB
23 here with us today and -- on the Board, so we
24 have that -- we have that for consideration.

25 **MR. CLARK:** Thank you, Admiral. And thank you,

1 folks. I really appreciate meeting you.

2 **VICE ADMIRAL ZIMBLE:** Okay, thank you.

3 **MR. GROVES:** Mr. Clark, before you leave the
4 microphone -- if it's all right, Admiral? I'm
5 sorry.

6 **VICE ADMIRAL ZIMBLE:** Yes.

7 **MR. GROVES:** One, thanks -- thanks for coming
8 back, and you and I were having a discussion
9 off-line --

10 **MR. CLARK:** Yes.

11 **MR. GROVES:** -- and if it's all right with you,
12 I would like to share it with the committee,
13 and that is that in your capacity as an officer
14 in the National Atomic Veterans Association --

15 **MR. CLARK:** Yes.

16 **MR. GROVES:** -- you had said that when you
17 received the notice of this meeting that you
18 sent out I believe it was 150 letters to --

19 **MR. CLARK:** Yes, I did.

20 **MR. GROVES:** -- members. And I -- and since we
21 had had this issue come up this morning about
22 trying to find ways to communicate, I want to
23 congratulate you on doing exactly what we were
24 hoping would happen, and that is to get the
25 information to -- to people like yourself who

1 would then get it out directly to our potential
2 beneficiaries. And I want to thank you very
3 much for taking that second step to do that.

4 **MR. CLARK:** If I may, I'll just add to that
5 conversation. We have people addressing the
6 microphone from Anchorage, Alaska, from
7 Maryland, from Minnesota, from Idaho, otherwise
8 they've -- they took an acception (sic) to the
9 letter, come on down. So thank you again.

10 **VICE ADMIRAL ZIMBLE:** Thank you. Have a safe
11 trip.

12 Next is Mr. -- Mr. Bankston.

13 **MR. BANKSTON:** Good afternoon, panel and Mr.
14 Chairman and ladies and gentlemen, comrades.
15 My name is John Bankston, Waldorf, Maryland and
16 I work with Veterans Affairs in -- in -- in
17 Maryland for atomic veterans and the Radiated
18 Veterans of America, and I belong to all the
19 other service organizations except one, and I'm
20 a honorary member of the Korean War. The rest
21 of them I'm a life member or either member.
22 And I'd like to thank you for giving me this
23 opportunity to appeal to the -- we hope it's
24 the government of the United States of America
25 on behalf of all the radiated veterans of

1 America and all other chemical-tortured
2 veterans. I want to strongly emphasize that we
3 still love and honor our country, as much as
4 when we were sacrificing ourselves for her. I
5 have never heard an atomic veteran denounce or
6 threaten our homeland, although we have been
7 and still are treated cruelly by our government
8 -- that sounds rough, but that's what it is;
9 that's the only thing I can say what it is --
10 in the form of super-secrecy, and that started
11 from the day that the TRINITY test, July the
12 16th, 1945 when the President Truman told the
13 principals declare it super-secret. It's been
14 that way ever since.

15 I have -- excuse me. Although we have been --
16 for 60 years we've been looking for some
17 relief, it has not come to us -- from exposure
18 to ionizing radiation. It is -- I hate to use
19 this, but it's true -- our rogue leaders -- it
20 took me 60 years to say that, but there's too
21 much -- too much waste. I -- I -- I went to
22 the -- all the way to the Deputy of Department
23 of Veterans Affairs and I wrote it through
24 Congressman Callahan, and I -- I showed him
25 where I could come up with, in writing,

1 millions of dollars. In other words, the
2 Veterans Administration wastes \$1 million a
3 day. That was in *The Mobile Register*.
4 Then I saw another report, \$22 million, and I
5 spent \$8.30 verifying that. And it just goes
6 on, on and on. We know about the kick-backs.
7 We know about the delays. We understand -- we
8 -- we're not -- we don't try to do your job
9 because you're professionals, but we understand
10 at a certain plane of everything that's gone on
11 and possibly will keep going on, and this is
12 not right. I personally believe it's damning
13 the United States of America.
14 I had a grandson adamantly wanting to go into
15 the Coast Guard, and he saw me turn in a report
16 -- I believe I sent it to a General Atkins; I
17 won't try to verify that because I have a small
18 archive -- I sent a report to him what we were
19 faced with, the radiated veterans and the
20 National Association of Atomic Veterans, and he
21 -- he refused to go in the Coast Guard for
22 seeing my medical record, which you have a
23 copy, Mr. Chairman. And this goes all the way
24 back to President Truman days. There's no --
25 there's no question about it. From that first

1 day, remember -- you know. You've probably --
2 well, on the -- on the committee that invented
3 it and followed it all the way through.

4 Okay, this -- it's President Truman and his
5 White House staff, and we all know that,
6 heedlessly ignored Albert Einstein warning of
7 the danger to radiation exposure to humans. We
8 know this. Those cruel leaders, that's what
9 they were, when they put a famous division like
10 the 2nd Marine Division and put us in Nagasaki
11 and don't even mention the word radiation, much
12 less what it'll do for you. That is nothing
13 but cruel.

14 They trample on our Constitutional rights, if
15 we even have a Constitutional right. I'm
16 beginning to doubt it. They did this without
17 concern or impunity.

18 My family tree and coat of arms, it goes back
19 to the year 1504. There is no record that
20 anyone -- anyone's sibling or whatever has
21 suffered with the same sickness and death as my
22 immediate family and atomic veterans. It was
23 once said -- and this is -- this is real true,
24 and you folks, some of you are geniuses, no
25 doubt. It was once said, when geniuses get to

1 the top of their plateau, they will commit to
2 anything that satisfies their aspirations. I
3 hate to believe that, but when you look back to
4 the atomic veterans, what we have, I'm
5 beginning to believe it fully.

6 As a matter of fact, it should be readily
7 agreed that any and all leaders who have not
8 exhausted their efforts to come to the atomic
9 veterans' rescue from radiation sickness, they
10 should have all the historical records stripped
11 back to President Truman days. That's my
12 opinion. It's a hard one, but I've lived a
13 hard life.

14 The responsible leaders who are still living
15 should be fined -- heavily, I say -- and
16 imprisoned for the rest of their lives for
17 failing to rescue atomic veterans from our
18 horrific suffering caused by invisible enemies.

19 During the anthrax attack on Washington, D.C.
20 Congressmen, Senators and their staff, they ran
21 for immediate safety -- and understandably so,
22 'cause we know why they ran. But what we don't
23 know and understand is why they didn't consider
24 us and come rescue us like they were.

25 Attention was immediately given to their

1 matter. However, we are blatantly reminded
2 that little or nothing has been done for atomic
3 veterans and their families' safety. In fact,
4 they have been literally destroyed instead of
5 helped. I can prove that a million times over,
6 most likely.

7 Like President Thomas Jefferson said, to keep a
8 nation strong, the people must be informed.
9 When VA doctors -- because we're not informed,
10 the people. Eighty percent of people -- you
11 can talk to people about atomic veterans, they
12 don't want to hear it 'cause they think it's a
13 myth or something, that we give them a sea
14 story. Eighty percent of the people should get
15 behind atomic veterans and all the military so
16 we will maintain a safe country.

17 Here's one now that's true to my case. When
18 VA doctors examine atomic veterans without
19 touching them or without using instruments, not
20 even a thermometer, how can it be determined
21 that atomic veterans have never suffered from
22 atomic radiation? It took me six or eight
23 weeks to get a -- to the right contract in
24 Washington because I got the run-around by the
25 rosebush and dead end numbers. And when I got

1 up there, the doctor did not touch me. We
2 shook hands when I went in and when I went out,
3 and then I got a letter -- had no signs of
4 radiation. He didn't look at my medical
5 history. Every time I mentioned what things
6 should be and shouldn't be in protecting atomic
7 veterans, the only logical comment I got from
8 him said isn't it that way with everything?
9 And you know my feelings because what I've just
10 said.

11 The military man's credo for commanding
12 officers -- some of you are commanding officers
13 -- is not to leave any troop behind. Our
14 famous 2nd Marine Division that protected
15 America was radiated on purpose, thus
16 destroying families and killing tens of
17 thousands, if not millions. And I'm here to
18 tell you that I know it, radiation sickness is
19 the sickest sick you can get.

20 It is very obvious to atomic veterans that the
21 American medical -- this is -- this is hard for
22 me to say, but I have seen it. I believe I can
23 put it together, the puzzle. We have a little
24 common sense. It is very obvious to atomic
25 veterans that the American Medical Association,

1 the AMA, and Veterans Administration, the very
2 people that's supposed to -- designed to help
3 veterans -- the administration, the VA and the
4 media, including *The New York Times*, and we
5 know that little baby, too, of deceptive
6 writing imbedded with the government. That is
7 pathetic for America to tolerate that.
8 American people shouldn't tolerate it.
9 And then the other governmental bodies all
10 worked in unison -- you folks know it, you're
11 right in the midst of it; you know it from A to
12 Z and I recognize that, and I know it's complex
13 and I give you credit for being so highly
14 intelligent. Some of us didn't get to go to
15 all the maximum because of being radiation
16 sick. The complex that kept secrets on how
17 extremely dangerous ionizing radiation is to
18 humans, to us this was purely human
19 experimental-- tation -- experimentation,
20 excuse me. We believe this has been highly
21 damning to our country, and will continue to
22 escalate -- I hope not, that's my belief,
23 though -- if justice is withheld. That's
24 what's going to happen 'cause we're out there
25 with the people. We see it.

1 These acts are the cruelest since the Roman
2 days of torturing their own people. And it is
3 impossible for atomic veterans to defend
4 ourselves in the short time allotted for
5 presenting our case, especially when having to
6 -- I told Senator -- Congressman Callahan these
7 very words -- especially when having to compete
8 with the entire government and an army of
9 doctors and lawyers specializing in nuclear
10 physics articulating 100 percent against our
11 cause in a most unfaithful manner. That's hard
12 but it's true. That's the way we feel because
13 we've been down that tortuous road.
14 I could go on and this -- I could tell my whole
15 book. I wrote one, it's *The Invisible Enemy of*
16 *the Atomic Veterans*. I didn't try to use the -
17 - all your technical formulas like beta, alpha
18 and how to split an atom. I just told it just
19 like it was. And the name of it is *Invisible* -
20 - I'm not doing this to sell the book. I care
21 less whether I sell one. In fact, I give my --
22 what little I've made, I haven't marketed it
23 because taking care of my wife, who took care
24 of us and killed herself early, but I haven't
25 marketed it because I haven't had a chance.

1 But I wrote it for one reason, to spread the
2 work about how atomic veterans have been
3 treated.

4 And thank you -- I want to thank you very much
5 for listening. It's our sincere prayer that we
6 have relayed to you a message of the suffering
7 atomic veterans and their families and what
8 they have endured these past 60-plus years. We
9 now ask you to urge the responsible
10 governmental bodies to immediately resolve the
11 issues of atomic veterans and their families.
12 Thank you, sir.

13 I have some questions for you folks but they're
14 too lengthy, and I could have written probably
15 10,000, but I kept it to 28. I wish you'd, Mr.
16 Chairman, pass it around to them, please, sir.

17 **VICE ADMIRAL ZIMBLE:** I have -- you have this
18 one question --

19 **MR. BANKSTON:** Yes, sir.

20 **VICE ADMIRAL ZIMBLE:** -- let me read the
21 question. Is -- is this subcommittee here for
22 the sheer pleasure and aid to our President
23 ultimately or to his staff, will he get this
24 report from this committee and the veterans
25 alike? That was your question. And I will

1 just tell you that the Veterans Board for Dose
2 Reconstruction was created by Congress to
3 specifically offer recommendations to two
4 agencies, to the Veterans Administration and to
5 the -- to the Defense Threat Reduction Agency,
6 the people that are doing the dose
7 reconstruction. That's our charter. And we
8 are diligently determined to make
9 recommendations that will enhance the process,
10 so I can promise you that.

11 **MR. BANKSTON:** Yes, sir, I know some have did
12 it and I sure appreciate it.

13 **VICE ADMIRAL ZIMBLE:** But we'll make sure that
14 -- I mean your remarks have been duly recorded
15 verbatim and will be made part of the official
16 record.

17 **MR. BANKSTON:** Thank you, sir.

18 **VICE ADMIRAL ZIMBLE:** Thank you.

19 **MR. BANKSTON:** Thank all of you.

20 **VICE ADMIRAL ZIMBLE:** Right. Now I have on the
21 list that Mrs. Bankston wish to -- or Senith
22 Bankston wishes to speak. All right, the floor
23 is yours. More reading material. Thank you.

24 **MS. BANKSTON:** (Off microphone)

25 (Unintelligible) and my name is Senoth.

1 **VICE ADMIRAL ZIMBLE:** Senoth, I'm sorry. Okay.

2 **MS. BANKSTON:** Good afternoon, Honorable
3 Chairman, ladies and gentlemen. My name is
4 Senoth D. Bankston. I am a daughter of Captain
5 Lynn A. Deflorin*, Sr. My dad was the captain
6 of the Belmont. That was a sailing ship that
7 later turned in to be a vessel -- I think that
8 when my dad went down with his ship I was four
9 and half in January the 20th, 1940, and I
10 believe at that time it was used for coal. My
11 mom was only 28 and she had three of us and she
12 wouldn't talk about my dad until -- she's
13 deceased now, but I don't know that much about
14 my father, other than he was a Merchant Marine
15 and his ship went down off Tampa Bay.

16 My oldest brother, Lynn A. Deflorin, Jr.,
17 served two tours in Vietnam. He has battled
18 cancer, prostate and lung, two times. And he
19 said if it comes a third time he's not going to
20 fight it, he's going with it.

21 My stepfather, John M. Paranowicz*, served
22 under General Eisenhower in World War II. He
23 was also in Korea. And I stayed with him and
24 my mom until he drew his last breath. He had
25 cancer from head to toe for a year and a half.

1 And I don't know if you gentlemen or ladies has
2 ever sat with anybody while they died. It
3 isn't easy, and you do miss them every day.
4 My dad -- they never recovered his body.
5 There's no closure there, and that's real hard.
6 Also I had a -- my former husband was in Korea
7 in the Marine Corps and he died January the
8 10th a year ago with cancer of the stomach and
9 the lungs and the thyroid.
10 And my friend that I've been friends with at
11 church for over 40 years, her husband, Norman,
12 served with the occupational forces in
13 Nagasaki, Japan in 1945. Norman died with lung
14 cancer, and after Norman died he -- before he
15 died, though, while he was serving in -- before
16 he served in Nagasaki or any branch of the
17 service, he had two children born of this
18 marriage. After he served in Nagasaki he had a
19 daughter that was born after he came home.
20 This daughter, Susan, died just before
21 Christmas this year of a brain tumor. She has
22 a son that's 32 years old that is dying of a
23 brain tumor. I don't know if that's, you know,
24 generically (sic) passed on or not, but you
25 know an alcoholic can pass on these genes, so I

1 don't know if -- I'm not, you know, medically
2 knowledgeable about that. This radiation can
3 be generically (sic) transferred or not is not
4 up to me, but it is obvious that this is
5 happening.

6 I am the past president of the P.L. Wilson
7 Marine Corps Ladies Auxiliary, and I do know
8 and have been around a lot of different
9 veterans, mostly Marines, and I have witnessed
10 many of them dying of different cancers. I've
11 gone to too many graves. I've held too many
12 widows' hands and their children, and I know
13 about death. These veterans were more than
14 likely -- well, some was in World War II, some
15 was in the Chosin Reservoir, there's three of
16 them I know in the Chosin Reservoir. There's
17 some that's been in Vietnam, some Desert Storm.
18 I don't know if they died of ionized radiation,
19 Agent Orange or whatever chemicals.

20 I recently married John Bankston and he is
21 also, as y'all know, a former Marine and who
22 was exposed to ionine (sic) radiation in
23 Nagasaki during the occupational duties from
24 September 23rd, 1945 to July the 8th, 1946.
25 John now lives in Waldorf, Maryland with his

1 daughter and which -- Betty Christianson.

2 Among other symptoms, she has a thyroid
3 disease. All these indications point to the
4 transfer of radiation. I also learned that
5 John's two grandchildren and three of his
6 great-grandchildren are showing signs of
7 radiation sickness.

8 On my first visit to Waldorf, Maryland after
9 our marriage, I had the occasion to visit -- to
10 view what I call John's personal archives, a
11 history on the atomic veterans and how
12 radiation destroyed these veterans and their
13 families trying to be cared for. During our
14 short visit I noticed that he had several
15 atomic veterans and their wives seeking medical
16 help or on knowledge of what to do or where to
17 go, and he told me that he got two or three of
18 these a day -- or during the week, seeking
19 information.

20 During the Christmas holidays John and I went
21 to my former husband's grave to put flowers on
22 and then went to his former wife's grave, and
23 there I noticed that John had a child died at
24 the age of five and a half months of colon
25 cancer. The doctors just couldn't help the

1 baby because they thought it was too hard
2 giving deadly enemas -- painful enemas to a
3 five-and-a-half-month-old baby, so they
4 operated on him and the baby died.

5 John later had another child, John Thomas, that
6 was at the age of 12 and a half years old that
7 died from liver problems. This child knew he
8 was dying, planned his own funeral.

9 John's late wife, Bobbie -- Bobbie Louise, died
10 January the 20th, 2005, and I've been told by
11 the family that she died damaging her health
12 taking care of these babies and John during
13 their sicknesses.

14 I also notice that John has chronic and severe
15 sleeping problems. The only time he seems to
16 get any relief is when he takes a sleeping
17 pill, and that's only about five hours. He has
18 severe and -- you know, leg problems, cramps
19 and all, and they're chronic. He has sores
20 like here on his face or on his legs or
21 something that just doesn't look normal, and
22 they don't seem to be able to take care of them
23 or they don't go away.

24 Since then I've read his medical records and I
25 firmly believe that they confirm that he did

1 have radiation -- iodized (sic) radiation. And
2 his medical records show that he had surgery
3 for basal -- basal cell -- cell carcinoma and
4 he had many skin diseases removed, cancer skin
5 diseases.

6 In closing I wish to make it known, through my
7 many years of association with veterans, their
8 wives, their families, my family and friends
9 and the issues related to their health, it is
10 my opinion that our veterans are very lacking
11 in proper health care, which has been ignored
12 far too long. I have also tried to help many
13 of the ladies just to deal with daily things at
14 the loss of their husbands. As I've told
15 y'all, I've dealt with death quite a few times.
16 Health benefits and different things that our
17 men made sacrifices, they laid their lives
18 down, they laid their -- like the -- our
19 forefathers, they put their wealth, their
20 health, their families on the line. And here
21 we, as Americans, say thank you? No, thank
22 you.

23 Thank you for allowing me to share what I feel,
24 and I pray that y'all do take this back. And
25 President Bush is my President. This is my

1 country, and I'll stand and say as long as I
2 can and fight to defend all veterans. Thank
3 y'all, ladies, gentlemen.

4 **VICE ADMIRAL ZIMBLE:** Thank you. And again,
5 those comments will be considered --

6 **MR. BANKSTON:** (Off microphone) Mr. Chairman,
7 (unintelligible)?

8 **VICE ADMIRAL ZIMBLE:** Sure.

9 **MR. BANKSTON:** She told -- really -- what she
10 said is very true, but one slight mistake. The
11 youngest son that died at five and a half
12 months old, he had an enlarged colon --

13 **VICE ADMIRAL ZIMBLE:** Okay.

14 **MR. BANKSTON:** -- which he had to have deep
15 enemas daily, and it was going to take nine
16 operations to get him to where he could live
17 comfortably.

18 **VICE ADMIRAL ZIMBLE:** Sure.

19 **MR. BANKSTON:** And instead of being a cancer --

20 **VICE ADMIRAL ZIMBLE:** Right, it was -- was a
21 megacolon.

22 **MR. BANKSTON:** Yes, sir.

23 **VICE ADMIRAL ZIMBLE:** Right, okay. Thank you
24 very much.

25 I want to reassure you that on this Board there

1 are eight distinguished veterans, so we
2 understand -- we understand your feelings and
3 we can -- we can show some compassion for what
4 you've gone through. Now -- and -- and we'll
5 see, you know, what is related to ionizing
6 radiation and what isn't. We'll do what we
7 can. Okay.

8 **MS. BANKSTON:** Sir, I don't mean to be rude --

9 **VICE ADMIRAL ZIMBLE:** Okay.

10 **MS. BANKSTON:** -- but have you ever lost a
11 loved one as --

12 **VICE ADMIRAL ZIMBLE:** Yes, I have.

13 **MS. BANKSTON:** -- as a wife --

14 **VICE ADMIRAL ZIMBLE:** Yes, I have.

15 **MS. BANKSTON:** -- or child?

16 **VICE ADMIRAL ZIMBLE:** I have.

17 **MS. BANKSTON:** Then you know. You can't relate
18 to someone that hasn't. They don't know that
19 loss. That's only something you and you alone.

20 **VICE ADMIRAL ZIMBLE:** Right.

21 **MS. BANKSTON:** And that's what I wish to get
22 across.

23 **VICE ADMIRAL ZIMBLE:** Okay. Thank you very
24 much.

25 **MS. BANKSTON:** Thank y'all.

1 **VICE ADMIRAL ZIMBLE:** Thank you. Mr. Wyant,
2 you have some additional testimony for us since
3 yesterday?

4 **MR. WYANT:** (Off microphone) I appreciate
5 (unintelligible) appreciate me, but...
6 I will say this, though. Since yesterday I've
7 had a lot of people thanking me for what I
8 said. Now I don't know how many of you
9 appreciate what I said, but what I said is the
10 truth. I'd venture to say not one of you
11 people know anything about me except if you
12 were in Tampa and you heard me there. And I'll
13 say it for the people who are here who do not
14 know. I'm the oldest living veteran who worked
15 in Los Alamos, which was called Manhattan
16 District Engineers of Tennessee. That was our
17 cover. Bob Oppenheimer was my boss. He picked
18 me out of Washington, D.C. four months before
19 out of 3,500 veterans that had been returning
20 from Europe.

21 They put us in this deal. I thought we were
22 going to work for the Post Office because it
23 was in October. I knew after one week no way
24 was this a Post Office job. I couldn't figure
25 out what it was all about, but I knew it must

1 be something rough. And when I was able to
2 talk to my folks, who didn't even know I was in
3 the States on top of it, getting all this
4 information from the federal government, the
5 FBI checking my folks, my dad, where I worked,
6 where he worked -- telephone company for 49
7 years, my mother was a nurse, she worked for
8 the Red Cross out at the air base, the kids I
9 went to school with, the doctors, all the
10 neighbors that I had. In those days in Iowa,
11 you knew everybody for 50 miles around and they
12 knew you. When I come to the west coast I
13 couldn't believe that you could live next door
14 to your neighbors and not even know who the
15 heck they are. It's the same way today.
16 So much for that. I'd like to ask you a
17 question. When I first come up with this 31 or
18 so cancers, what I'm going to ask you wasn't on
19 there, but shortly afterwards it was. And I'm
20 going to ask you the question. How do you
21 determine bone cancer? What -- in your
22 position, how can you say that it's radioactive
23 when the doctors who deal with this bone all
24 the time, who have worked on me and prayed with
25 me and done everything, not one of them ever

1 said that I had radiation because I'm telling
2 you the truth, 65 years later they did not know
3 anything about radiation. The government did
4 not talk about radiation during World War II,
5 hardly said a word. And then you expect the
6 doctors today in the hospitals -- I'm talking
7 about the VA hospital in Portland right at the
8 moment, and the one up in Seattle. Those
9 doctors don't know anything about radiation,
10 and they admit it. We would like to know more.
11 My own doctor I had for five years, I finally
12 gave her some information when I could talk
13 about it, in 2000. I've been 65 years under
14 surveillance with the FBI. They check with me
15 all the time. They called me in February and
16 asked me, trying to find out if I was still
17 alive after he told me there was 243 in my
18 classification. And during that time I was in
19 Los Alamos, the only veterans that were there
20 were Army, 243. Now I only know of seven that
21 worked in the area where I did. I presume a
22 lot of the MPs who patrolled the top of the --
23 of the area, and the bottom because it's a
24 plateau, 100 to 200-foot straight cliffs, only
25 one road up -- and they might be classed in

1 that. He couldn't tell me that, but he had
2 been two and a half months calling that roster.
3 He neither talked to the parents, he neither
4 talked to the veteran or his wife or his
5 children. He talked to a few cousins who (sic)
6 nothing about it whatsoever, didn't even know
7 what he was talking about. And that's the
8 fact.

9 You've already admitted yesterday and today
10 that we need to do more advertising, letting
11 people know that there are atomic veterans and
12 we're in badly (sic) need of help. But what
13 are you doing? You're setting here on this
14 dose registration -- reconstruction. You been
15 doing it for -- for almost 60 years, at least
16 since '70, and you haven't gained one thing.
17 You have spent thousands of dollars on
18 something you can't prove because it doesn't
19 help me because you can't say whether I've got
20 radiation or not. There's no doctors that you
21 can send me to that's going to tell me I've got
22 radiation, because nobody knows. The VA, who
23 has in charge of Orange and R and R, radiation
24 examinations, they finally, after calling back
25 and forth to Portland to the gal that's

1 supposed to represent us there and I'd been
2 there two different times and she says Clyde, I
3 don't know what to do for you. We only have it
4 from '50 on up to '70. We don't have nothing
5 in '40, '45. I'm -- can't do anything.
6 So this Helen -- I'll call it Larwakovich*,
7 that's as close as I can come to it. Anyway,
8 she correspond with her in February -- in
9 September of '40 for about two weeks back and
10 forth, and also with Dick Kontz*, who then was
11 the state command-- national commander of the
12 atomic group. He wrote to them and told them
13 that Clyde Wyant is the only one left. He is
14 the sole survivor of Los Alamos. But you think
15 they believed him? No. But I did get a letter
16 from her saying the committee has been
17 reviewing your form -- your claim, and we have
18 come to the conclusion that you are presumptive
19 -- is that the right word, presumptive? That
20 you are radioactive, and we have decided that
21 you are 100 percent radioactive.
22 Now you tell me after 67 years that I'm 65
23 percent radioactive? Yes, I worked in the
24 chemical laboratory with Bob Oppenheimer making
25 this stuff. I had it in my hands. I

1 transported it. I handled the drafts where it
2 came out and went to the washer. I did all of
3 that. I have a letter of October the 1st of
4 '45 thanking me for my service. I left there -
5 - I was there in Los Alamos in '45 when we
6 tested the TRINITY site. I was in Camp Beale,
7 California when they said the dropped the bomb
8 on Japan, and I'm saying to myself so that's
9 what they did with it. Now I couldn't tell a
10 soul. I was confined to that military base. I
11 couldn't go anywhere. I had to report to
12 headquarters, G-2, four times a day. The night
13 O.D. of the camp come and checked my bunk to
14 see if I was in it. I was in a organization
15 that was shipping people overseas all the time.
16 Every day I was on that list, too. And they
17 finally give up. They wanted to know who I am,
18 what I am, how do I get paid and what do I do.
19 Well, you know what? I couldn't tell them one
20 damned word because I'm under security. I
21 haven't been able to talk about this till 2000
22 when I got my citation, was called TRINITY site
23 advisor, and the letter of Bob Oppenheimer
24 proved that I was there.
25 Now I'm asking why, after the President said in

1 2001, after he became President, at Arlington,
2 after he gave his speech in the morning and
3 praising the Purple Heart boys -- there were
4 ten of them there -- afterwards, and then he
5 said -- and these are my words -- his words, I
6 just discovered three months ago that there are
7 a group of veterans who are morally mistreated
8 and neglected and abused and badly need of
9 medical attention. That is the atomic veteran
10 with radiation, ionized radiation. And he said
11 we do not know what to do for them. We do not
12 know what to do for them. And what did he say?
13 I'm recommending to Congress that they get a
14 Purple Heart and get compensation and get their
15 medical problems solved somehow or another, but
16 do it. I haven't heard one word from
17 Washington. I haven't word (sic) from anybody
18 helping me solve my problem. All my medical --
19 all my fusions, all my medical stuff has been
20 outside of the veterans' hospital because I
21 could not get it done. My insurance policy and
22 my pocket paid for it. It's still paying for
23 it.

24 **VICE ADMIRAL ZIMBLE:** Mr. Wyant --

25 **MR. WYANT:** And what I'm saying to you again --

1 **VICE ADMIRAL ZIMBLE:** Okay.

2 **MR. WYANT:** -- please -- well, this -- this man
3 here who -- just talking to you a few minutes
4 ago and give -- I think he's the one with the
5 book, every word that he said -- I hope you set
6 down and think about what he said, because I've
7 been trying to say the same damned thing for 20
8 years.

9 **VICE ADMIRAL ZIMBLE:** Right, and we have --

10 **MR. WYANT:** I am working --

11 **VICE ADMIRAL ZIMBLE:** -- your testimony.

12 **MR. WYANT:** -- to help the veteran.

13 **VICE ADMIRAL ZIMBLE:** Right.

14 **MR. WYANT:** I am asking you people to do
15 something about it to get us. My national
16 commander, R.J. Ritter, and I have talked about
17 what to do. I'm talking about another group.
18 And we know that if we do this, you won't be
19 having to argue about what you're trying
20 because what you're all talking about ain't
21 helping us any. You are spending money, but
22 we're not getting any help. I have got no
23 compensation for radiation in 65 years. I have
24 100 percent in 1999. You know how I got it?
25 After my third operation they called me and

1 said they're reviewing my claim. They said
2 when was the last time you worked? I says I
3 haven't worked since 1975 when I had my second
4 fusion. You haven't worked for anybody; have
5 you paid any taxes? I says no, I haven't paid
6 any taxes up to this day. That was last year --
7 I mean in 2000. And he said well, we're
8 reviewing it. He says you now have 60 percent.
9 Yeah, I got that two days ago after my third
10 back operation. It was 40 percent, and then
11 while I was in there they decided to make it 50
12 and then after I got out I got a call from
13 Washington that said we're making it 60. Two
14 weeks later they called me from Washington
15 again and asked me when I worked. Guess what?
16 I got 100 percent. Why did I get 100 percent?
17 Because I haven't worked for -- I had to be
18 over 70 years old at that time. I haven't
19 worked for five years for any company, had
20 taxes withdrawn and paid taxes. And since I
21 haven't, they gave me 100 percent.
22 Well, in those days it was about \$1,300. It's
23 up now, as of yesterday it's \$2,300. But look
24 all the expense I've had. I need -- I -- I'm
25 blind. I have a closed circuit TV that I got

1 from blind school in Tacoma. Cost \$8,000. I
2 come home with \$10,000. I got all kinds of
3 stuff to read but I can't read it -- talking
4 calculators and all that kind of crap, I can't
5 see to read it or write it. Anyway, I'm
6 getting now a machine that reads printing.
7 This I got from Washington from Marlena
8 Hester*, 31 pages. It took me over ten days to
9 read it because I can only see two words at a
10 time. But now this reader, it's like a
11 printer. You put that in, turn the button,
12 turn the switch, turn the volume on and it
13 reads it, literally reads it, word for word. I
14 can stop it, back it up, move it forward,
15 whatever. Anything I want to put -- newspaper,
16 magazine, anything I want to put under it, it
17 will read it. Now I've got -- I've got 72
18 pages of stuff from Washington that I haven't
19 been able to touch and they've been in my -- on
20 my machine now -- by machine for almost two
21 months because I cannot read it because when I
22 was -- went up to American Lake because I was
23 worried about my blindness because I'm not
24 supposed to be seeing anything, I've been blind
25 for nine years and up until six months ago I

1 can -- supposed to be seeing you as an image,
2 which was --

3 **VICE ADMIRAL ZIMBLE:** Okay, Mr. Wyant --

4 **MR. WYANT:** -- was the truth. But now --

5 **VICE ADMIRAL ZIMBLE:** -- much of this --

6 **MR. WYANT:** Just give me two minutes, you know.

7 **VICE ADMIRAL ZIMBLE:** All right, two more
8 minutes.

9 **MR. WYANT:** I -- I'm -- I am deserving --

10 **VICE ADMIRAL ZIMBLE:** We have much of this --

11 **MR. WYANT:** -- of this.

12 **VICE ADMIRAL ZIMBLE:** Yes, you are. We have
13 much of your testimony --

14 **MR. WYANT:** Well --

15 **VICE ADMIRAL ZIMBLE:** -- from yesterday.

16 **MR. WYANT:** -- just a minute, because I'm
17 leaving here and I'm going over to the VA
18 hospital.

19 **VICE ADMIRAL ZIMBLE:** Yes, you are.

20 **MR. WYANT:** They're coming after me.

21 **VICE ADMIRAL ZIMBLE:** Right.

22 **MR. WYANT:** But anyway, I'm saying this is the
23 most ridiculous thing I've ever seen in my
24 life. I don't get no help from anybody. No
25 family, I never had any children, as I said.

1 My folks don't know about it, they're long
2 dead. My nephew is my trustee now. He lives
3 in Florida. He's trying to help me. I live by
4 myself. My wife's daughter took me in a
5 divorce in 2003 for a whole year. It cost me
6 \$187,000. Where in the hell do you think I got
7 that out of my Social Security and VA pension
8 and it isn't what I'm getting today. But she
9 got it, and I sold my house -- big three-
10 bedroom ranch, 50 by 70, on a big lot, sold it
11 for \$175,000. I could have got, any day of the
12 week, \$200,000, \$225,000, \$250,000. When
13 people found out that the house had been sold,
14 when did you sell it? I said I didn't sell it,
15 the court sold it. They put it on the market
16 and an hour and 15 minutes it was sold. And
17 the court didn't give a damn how much they got,
18 they said it's sold, so I'm stuck with it. So
19 I'm on the broke side a little bit. And I
20 could use a little compensation for the
21 radiation which I've been putting up with for
22 60-some years. I think I'm deserving of it and
23 I'm pleading with you, see that I get it.
24 As far as the Purple Heart is concerned, it
25 doesn't mean a damn, but except it would raise

1 my deal from whatever I got now to six, I think
2 it is, and you get more benefits, more doctors
3 and more everything, and it's in that. I would
4 get it. I would like to have that. But I'm
5 afraid I'll be dead before I get it. I'm 85
6 now.

7 **VICE ADMIRAL ZIMBLE:** Right.

8 **MR. WYANT:** They told me I wouldn't live to be
9 50.

10 **VICE ADMIRAL ZIMBLE:** Okay.

11 **MR. WYANT:** I thank you very much for your
12 patience. I know you heard me in Tampa and
13 you're hearing me twice today. I appreciate
14 it.

15 **VICE ADMIRAL ZIMBLE:** Right, we have it --

16 **MR. WYANT:** I just thought --

17 **VICE ADMIRAL ZIMBLE:** -- we have it on the
18 record.

19 **MR. WYANT:** -- your group needs to come to --
20 oh, I know one thing I was going to ask because
21 they've already asked me to say it. Why do not
22 you people request that they take me to Walter
23 Reed or someplace and check me out to find out
24 how come I'm alive after all my fellows that
25 worked in Los Alamos are dead over 30 years

1 ago? Why am I the only one that's still alive?
2 Can you tell me? Have you got an answer for
3 that?

4 **VICE ADMIRAL ZIMBLE:** No.

5 **MR. WYANT:** I would like to know. How come I'm
6 still alive? And I told my doctor a month ago,
7 I'm going to live another 15 years. I'll be
8 almost 100.

9 **VICE ADMIRAL ZIMBLE:** And I believe that, too.

10 **MR. WYANT:** And I will be back --

11 **VICE ADMIRAL ZIMBLE:** Okay.

12 **MR. WYANT:** -- in the fall. Wherever you'll
13 be, you'll see me again, but I hope by that
14 time I'll have a little more information.

15 **VICE ADMIRAL ZIMBLE:** Okay. Okay.

16 **MR. WYANT:** Sorry, but I -- I think over two
17 times now in the three months --

18 **VICE ADMIRAL ZIMBLE:** Okay.

19 **MR. WYANT:** -- that I think you're beginning to
20 get the word that this gentleman just behind me
21 also verified what I've been trying to tell you
22 --

23 **VICE ADMIRAL ZIMBLE:** Okay.

24 **MR. WYANT:** -- so maybe two of us, don't know
25 one another, don't even know we're here, is

1 telling the -- basic -- some of the same things
2 I've been trying to tell you for two times.
3 Please think about it. If you want to know
4 more, you want to talk to me, you want me to
5 come someplace, I -- I love to travel. Call
6 me.

7 **VICE ADMIRAL ZIMBLE:** Okay, thank you very
8 much.

9 **MR. WYANT:** (Off microphone) I thank the
10 committee and they're intelligent and -- oh,
11 yes, I do like to thank General Taylor.

12 **VICE ADMIRAL ZIMBLE:** Okay.

13 **MR. WYANT:** (Off microphone) He's
14 (unintelligible) he is a regular Army man,
15 retired. I'm a regular Army man and I
16 (unintelligible). I was in Kodiak, Alaska when
17 they bombed Pearl Harbor. I don't have
18 (unintelligible). Thank you.

19 **VICE ADMIRAL ZIMBLE:** Okay. I -- if there's
20 anyone else that is not on this list that would
21 like to make a comment? If not -- and I thank
22 you, I thank those folks who took the time and
23 -- and made the -- made the effort to come to
24 provide us with some testimony. Is it --
25 what's next on my agenda? I lost -- oh, here

1 it is. No, it isn't.

2 **UNIDENTIFIED:** (Off microphone)

3 (Unintelligible)

4 **VICE ADMIRAL ZIMBLE:** Okay, thank you. All
5 right. It's time -- we can take a short
6 breather. Let's take a break for 15 minutes,
7 then when we come back we'll finish up with
8 more of the Board's business, as indicated in
9 the agenda. Thank you.

10 (Whereupon, a recess was taken from 2:35 p.m.
11 to 2:50 p.m.)

12

13 BOARD MEMBERS QUESTIONS AND DISCUSSION

14 **VICE ADMIRAL ZIMBLE:** Ladies and gentlemen,
15 let's please resume. The first piece of
16 business -- Dr. David Kocher had some comments
17 that he wanted to make earlier yesterday and we
18 -- we've asked that he address the Board to --
19 to talk about some new and exciting
20 developments.

21 **DR. KOCHER:** Yes, thank you, Mr. Chairman. I
22 just wanted to say a few words about the
23 Interactive RadioEpidemiological Program, this
24 famous IREP, sort of in the vein of where do we
25 go from here with this program. And I do this

1 because, if you choose, this committee has a
2 role to play in the future direction of
3 development of this program.

4 IREP is a living thing. It is being
5 continually thought about and investigated by,
6 you know, the scientific staff at NIOSH and by
7 the technical staff in Oak Ridge, at SENES Oak
8 Ridge. We meet two or three times a year for
9 essentially a two-day retreat to just talk
10 about new scientific developments and what do
11 we need to do to make this program better.

12 It's not like the 1985 radioepi tables that
13 were frozen in time for 15 years, so there are
14 opportunities. So I wanted to just sort of
15 give you a flavor of how this process works,
16 and maybe even some of the things that we're
17 working on that might be of interest to the
18 atomic veterans' program.

19 Future developments are clearly driven in part
20 by activities by the BEIR committees, say. I
21 mean BEIR VII is a -- is a crucial benchmark
22 that --in large measure the basic risk models
23 from the A-bomb survivor data clearly will end
24 up in IREP. Will everything that the BEIR
25 committee has recommended end up in IREP? I

1 think the answer is absolutely not. There are
2 whole issues of importance to IREP that they
3 don't deal with, and there are other issues on
4 which there are honest disagreements of opinion
5 about whether they have represented the state
6 of knowledge, and NIOSH may well take a
7 different view.

8 So it's -- it's not a conflict, but there are
9 basically two things going on. You have your
10 high level committees that make pronouncements
11 every ten or 15 years, and then there's the
12 foot soldiers down in the trenches who go to
13 work every day and are trying to look at these
14 things, and we may have a different point of
15 view. And the political and governmental
16 system works all this out, but it's very
17 dynamic.

18 For example, we are working on a model for
19 chronic lymphocytic leukemia, which everybody
20 knows is not radiogenic. But a decision has
21 been made to look into this and to see what's
22 really there, so you may see something come
23 down the road here pretty soon that CLL is now
24 in IREP. I can't predict the future.

25 Dr. Land mentioned yesterday that a very

1 important parameter in this program is this
2 famous dose and dose rate effectiveness factor,
3 DDREF. And basically what it does is it
4 reduces risk estimates at high acute doses in
5 A-bomb survivors for application to low doses
6 and low dose rates. And we have been for a
7 year now working extensively to review all the
8 literature and try to come up with some
9 recommendation for changing the present
10 assumptions about DDREF in IREP. And this is
11 one area where I think it is virtually -- it is
12 absolutely certain that we will not recommend
13 what the BEIR committee did to NIOSH. And I
14 think there's a 95 percent chance that NIOSH
15 will not adopt what BEIR says -- BEIR VII
16 committee said and do something different. So
17 stay tuned. I mean this is fun stuff. This --
18 this is -- this is really fun stuff.
19 But I just want to emphasize that this is a
20 dynamic system and you people, when you have
21 technical issues that you want to bring to the
22 fore, you should be encouraged to do so and I'm
23 certainly, if they're not doing it already,
24 encouraging -- going to encourage NIOSH to
25 communicate to you when they make changes or

1 have proposed changes, because IREP is now a
2 bedrock of your program. No -- no question
3 about it.

4 A couple of other just very quick remarks.
5 Julian Preston mentioned the desire for a
6 program that would calculate dose risks and
7 probability of causation all in one fell swoop.
8 Of course their interest was Nevada Test Site
9 fallout. But in fact we have such a program
10 for Nevada Test Site. It calculates dose,
11 lifetime risk, future risk from today if you're
12 disease free, probability of causation if you
13 have disease today, and it washes your windows
14 and cooks dinner before 6:00 o'clock.
15 One final comment, I very much appreciated the
16 discussions earlier today about communicating
17 information about radiation risk to veterans.
18 I can tell you in all honesty, I have failed
19 miserably on every attempt to do this, so I
20 will be looking for some method that works. A
21 possible vehicle to provide you with some
22 information was a report on screening doses
23 calculated by IREP that we did produce, and I
24 believe Subcommittee 1 has this report. It's
25 basically a table of how much it takes for

every -- how much dose it takes for every one
of the 32 cancers in IREP, depending on how old
you were when you were exposed and how old you
were when you got disease, so it's just a mind-
numbing array of numbers. But when you look at
it, you know, the message comes through that it
takes a lot of dose to reach 50 percent PC at
the 99 percent confidence limit. But that's a
data resource you can use to factor into how
you're going to couch this in terms that lay
people can understand.

12 Thank you for your time.

13 **VICE ADMIRAL ZIMBLE:** Thank you very much. And
14 -- and is there any discussion from the Board?

(No responses)

16 I -- I -- I very much appreciate it and I'm one
17 of those lay people that you've got -- that
18 you've got to convince, but I -- I thank you
19 very much for that -- for those comments.

20 Oh, there -- the first item on the agenda is --
21 is to dis-- and if there's -- to see if there's
22 any further discussion regarding the PC or dose
23 reconstruction assessments. And I don't see
24 any volunteers for further discussion, so -- I
25 can't even see that.

1 **UNIDENTIFIED:** (Off microphone)

2 (Unintelligible)

3 **VICE ADMIRAL ZIMBLE:** Oh, Elaine is not -- is
4 not on line any longer. She's -- she has other
5 commitments.

6 Okay, then let's talk to -- the discussion of
7 background materials that are relevant to this
8 committee. I'm not sure what that agenda item
9 is. Isaf, can you enlighten me?

10 **DR. AL-NABULSI:** Subcommittee 4 suggested to
11 have a library for the Board, and I received
12 input from the Board what do we need to have in
13 the library. If you have additional -- you
14 know, anything in mind that you would like to
15 include in the library, I would appreciate
16 that.

17 **VICE ADMIRAL ZIMBLE:** Okay. Right.

18 **MR. GROVES:** Let me suggest that --

19 **VICE ADMIRAL ZIMBLE:** Mr. Groves.

20 **MR. GROVES:** -- it was -- it was made known to
21 us yesterday that the report that -- and I
22 believe it was Dr. Preston's report, or was it
23 Dr. Land's report?

24 **DR. AL-NABULSI:** Are you talking about the
25 RECA?

1 | MR. GROVES: Yes, the RECA --

2 DR. AL-NABULSI: I have that report.

3 **MR. GROVES:** Okay. Is that a -- how big is
4 that? I mean bigger than a bread box or -- I
5 mean is it some --

DR. AL-NABULSI: It's about 400, 450 pages.
You'll receive a copy of it.

8 **MR. GROVES:** Oh, we will? Okay, that's what I
9 --

10 **DR. AL-NABULSI:** You already have. I sent it
11 to all of you.

12 **MR. GROVES:** Okay, fine. I just wanted to be
13 sure that we all had -- had a copy of it
14 because it sounded like there was probably some
15 information in there that would be useful to
16 all of us, so -- okay.

VICE ADMIRAL ZIMBLE: Well, I'll --

18 COLONEL TAYLOR: (Off microphone)

19 (Unintelligible)

20 (Pause)

21 I think at the last meeting Isaf and I had a
22 discussion on that, and the discussion was that
23 probably this Board needs a good library
24 somewhere, and the logical place is with her
25 and she's undertaken that. I've recommended

1 several publications and all to her. She's
2 added them to it. The point being that
3 somewhere available to the Board are reference
4 materials that the Board might need. And I
5 don't think that's ever been really explained
6 to the Board, but Isaf has been working on a
7 library for some time and I applaud her for the
8 effort she's done on it. Thank you.

9 **VICE ADMIRAL ZIMBLE:** Of course that -- that
10 library will include all of the reports and
11 data that NCRP has already produced and is in
12 the process of producing.

13 **COLONEL TAYLOR:** (Off microphone) Plus there's
14 some publications (unintelligible) --

15 **UNIDENTIFIED:** (Off microphone)
16 (unintelligible) the microphone.

17 **COLONEL TAYLOR:** -- (on microphone) very good.

18 **VICE ADMIRAL ZIMBLE:** Very good. Dr. Swenson.

19 **DR. SWENSON:** One thing for Subcommittee 4, you
20 might want to look at the American College of
21 Radiology, too. They put out information on
22 radiation for both cancer patients or
23 diagnostic patients. So when you're reviewing
24 some of the publications maybe the VA puts out
25 or you want to couch your own, they do use

1 pretty good layman's terms because it is for
2 patients. So it might be on their web site,
3 and I know that Dr. Tenforde was going to try
4 to get one of their publications that's now out
5 of print -- they only had a few left. It's
6 *Radiation Risk, a Primer*, and it should be
7 updated because it is pretty good information
8 for kind of a lay person, or at least not the
9 radiation expert.

10 **MR. GROVES:** Is Lynn Ferabent* still there at
11 the American College -- Lynn Ferabent?

12 **DR. SWENSON:** That doesn't sound familiar, but
13 I recently talked to Penny Butler --

14 **MR. GROVES:** Okay.

15 **DR. SWENSON:** -- on the information from that
16 *Radiation Risk, a Primer*.

17 **MR. GROVES:** Okay, thank you.

18 **VICE ADMIRAL ZIMBLE:** I have to say that after
19 receiving testimony yesterday and today, I
20 think that that would be a worthwhile project
21 for one of the agencies to take on to prepare a
22 radiation risk primer that's -- that's --
23 that's relevant for today.

24 Dr. McCurdy.

25 **DR. MCCURDY:** Also there's a -- in reference to

1 this, the Health Physics Society does have some
2 background material for dealing with the public
3 on education for risk, risk assessment for
4 radiation.

5 The question I have also is, on this background
6 material or library, how does the Board member
7 become acquainted with it or use it and how do
8 we get it back to you? Is it sort of a take-
9 out type of thing or how is this going to work?

10 **DR. AL-NABULSI:** Yes, you will -- I will send
11 you the list, what we have, and if you would
12 like to look at certain document, I will make
13 it available to you.

14 **DR. MCCURDY:** Well, some of these documents you
15 can get electronically or you can get as a hard
16 copy. And probably for the Board, it may be
17 more useful to get it electronic so you can
18 just send that, you know, even over the web.
19 You know -- I mean, you know, you could -- if
20 it isn't too long.

21 **COLONEL TAYLOR:** (Off microphone)
22 (Unintelligible)

23 **DR. MCCURDY:** Books?

24 **COLONEL TAYLOR:** Books themselves.

25 **DR. MCCURDY:** Yeah, the books wouldn't be, but

1 a lot of these NCRP -- a lot of these other
2 reports are becoming --

3 **DR. AL-NABULSI:** Correct.

4 **DR. MCCURDY:** -- available on CDs and what have
5 you, so I would suggest -- a lot of times they
6 ask for either -- you can buy either one, I
7 think, but --

8 **DR. AL-NABULSI:** Yeah.

9 **DR. MCCURDY:** -- you may want to make a
10 decision on that.

11 **VICE ADMIRAL ZIMBLE:** Yeah, I would -- I would
12 even suggest, Isaf, that you -- that we publish
13 the list of good referen-- background material,
14 references, on our web site. That could be a -
15 -- could be a web page that could give you a
16 listing by subject matter, and then some of
17 them, if they're electronic, could -- could
18 even be hyperlinked.

19 **DR. MCCURDY:** Do you plan on having the NIOSH
20 and IREP and all this material available in
21 that library?

22 **DR. AL-NABULSI:** If you feel that's important
23 to do it, we'll do it. Or we can have link to
24 their web site if that -- if it's available on
25 their web site, I can get permission to do it.

1 **DR. MCCURDY:** As long as we don't need a
2 password.

3 **DR. KOCHER:** NIOSH-IREP is available as a
4 public-accessible link, and that's what I would
5 recommend you use.

6 **VICE ADMIRAL ZIMBLE:** Okay, thank you very
7 much. Any other comments?

8 All right, the next -- the next topic for the
9 Board to consider is who would we like to have
10 help provide input at our next Board. What --
11 what type of experts would we like to invite?
12 We have -- we already have several suggestions.

13 One is Dr. Royal*, who is -- who is on the
14 Veterans Advisory Board and -- just to -- to
15 get a feel for what that board does and what --
16 what input they use in order to make their
17 determinations for -- for VA regulations, so I
18 think inviting him would be most appropriate.

19 And John, I think you have another person that
20 you think would be worthwhile to invite.

21 **DR. LATHROP:** Yes, I've already discussed this
22 with Isaf, but just to present it to the Board,
23 from a risk communication point of view, the
24 name that often comes to my mind is Paul
25 Slovic*. He's spent his entire adult life

1 talking about perceptions of risk, public
2 attitude toward risk, and things that might be
3 of relevance for us figuring out how best to
4 communicate risk aspects to veterans.

5 **VICE ADMIRAL ZIMBLE:** And how best to
6 understand perceptions.

7 **DR. LATHROP:** Yes, exactly.

8 **VICE ADMIRAL ZIMBLE:** Okay. Mr. Beck.

9 **MR. BECK:** I think we also discussed that we'd
10 like to try to get somebody to give a talk on
11 putting radiation risk in perspective with
12 other risks so that people would maybe
13 understand what really risk you're talking
14 about with a certain dose. I'm not sure of any
15 particular names, but I think it would help --
16 Dr. Land would have gone far enough but he
17 really didn't do that.

18 **VICE ADMIRAL ZIMBLE:** Okay. I'm sure that if
19 we were to ask Dr. Tenforde he could come up
20 with a list of names that could -- could
21 provide that, so that -- that's a good
22 suggestion and, Isaf, we'll add that to the
23 list. That's three, that's probably sufficient
24 -- oh, I'm sorry. Dr. Swenson.

25 **DR. SWENSON:** The only other person I think

1 that might be worthy of hearing, Dr. Boice,
2 when he talks about the epidemiology -- or if
3 he doesn't -- since he's on the Board, if he
4 doesn't want to speak he might be able to
5 recommend someone else, but that could be very
6 enlightening as he -- for some of the Board
7 members that haven't heard him speak or read a
8 lot about cancer epidemiology.

9 **VICE ADMIRAL ZIMBLE:** There's no question about
10 Dr. Boice has a wealth of experience with many
11 patient populations, so he probably is -- is
12 one of our major source -- resources for -- for
13 radiation epidemiology, so let's see if we can
14 ask Dr. Boice to make a presentation.

15 Okay, any --

16 **COLONEL TAYLOR:** I have one recommendation, and
17 unfortunately I don't remember his name off-
18 hand, but I'll tell you a little bit about him.
19 He's the author of the book *Shockwave*, which is
20 the story of TRINITY, Hiroshima and Nagasaki.
21 I read his book, gave a copy to Admiral Zimble.
22 He knows it. The man is a Britain -- British.
23 He came to Washington a couple of times. He
24 was in town last time. He would be a
25 tremendous speaker to us on some of the

1 background. And the second thing he is, he has
2 written several TV documentaries, which means
3 he has some capability that we as a Board might
4 want to expose to him, so it may be a two-way
5 street in that regard. I'll get you his name,
6 Isaf, but he's -- he is a very capable man and
7 he's written a very fine book and the research
8 that went into that book was extremely wide.
9 Thank you.

10 **VICE ADMIRAL ZIMBLE:** Okay, thank you. Dr.
11 Zeman.

12 **DR. ZEMAN:** My suggestion is that we consider
13 an expert in beta dosimetry or skin dosimetry.
14 We have some important issues and discussions
15 with regard to that coming up at our next
16 meeting, and there are a couple of experts in
17 the country that would be very good, I think.
18 Some recognized experts like Dr. Tom Gisele*
19 might be one that would be useful to --

20 **VICE ADMIRAL ZIMBLE:** Okay.

21 **DR. ZEMAN:** -- elucidate us on some of the --

22 **DR. AL-NABULSI:** I extended an invitation to
23 Dr. Gisele. Unfortunately he wasn't available
24 to attend this meeting. If you want -- or you
25 still want to hear about beta dosimetry, I will

1 contact him again to see if he's available for
2 the June meeting.

3 **DR. ZEMAN:** Thank you.

4 **VICE ADMIRAL ZIMBLE:** I would recommend that
5 you give him both dates, the June meeting and
6 whatever dates we decide for a November
7 meeting. Some of these folks have schedules
8 that are quite crowded.

9 Okay, let's now -- let's now talk about the --
10 the Board's work schedule and -- and the
11 schedule for future meeting dates. And Isaf, I
12 would appreciate it if you'd take the lead on
13 this.

14 **DR. AL-NABULSI:** With regard to Board work
15 schedule, I would like subcommittee chairs to
16 communicate with members to schedule future
17 meeting dates between now and the June meeting.

18 **VICE ADMIRAL ZIMBLE:** And we need now to decide
19 on a -- on a date for the meeting that follows
20 the --

21 **DR. AL-NABULSI:** June meeting.

22 **VICE ADMIRAL ZIMBLE:** -- the Austin, Texas --

23 **DR. AL-NABULSI:** Correct.

24 **VICE ADMIRAL ZIMBLE:** -- meeting. We need a
25 date and a place.

1 **DR. AL-NABULSI:** Okay. Based on your schedule,
2 you are available the first week of October and
3 the first week of November. Let's decide which
4 month first.

5 **VICE ADMIRAL ZIMBLE:** Right. Dr. -- Mr.
6 Pamperin.

7 **MR. PAMPERIN:** I would just make an observation
8 that the first week of October you're usually
9 in a continuing resolution, and --

10 **VICE ADMIRAL ZIMBLE:** No-travel money.

11 **MR. PAMPERIN:** -- there's little or no travel
12 money, so...

13 **DR. AL-NABULSI:** So you all prefer --

14 **VICE ADMIRAL ZIMBLE:** Yeah.

15 **DR. AL-NABULSI:** -- November?

16 **VICE ADMIRAL ZIMBLE:** If -- if November -- it's
17 the week of November the 6th, I believe it's --
18 the dates would be the 9th and 10th --

19 **DR. AL-NABULSI:** Uh-huh.

20 **VICE ADMIRAL ZIMBLE:** -- of -- the Thursday and
21 Friday --

22 **DR. AL-NABULSI:** Thursday and Friday.

23 **VICE ADMIRAL ZIMBLE:** -- with subcommittee
24 meetings, if necessary, on the 8th.

25 **DR. AL-NABULSI:** Yes.

1 **VICE ADMIRAL ZIMBLE:** So let's make that --
2 let's just firm that up. That's good. And now
3 of course we --
4 **DR. AL-NABULSI:** Now location.
5 **VICE ADMIRAL ZIMBLE:** -- need a location. I've
6 had -- I have received two recommendations.
7 One -- Commander Ritter of the NAAV is having
8 his NAAV meeting in St. Louis in September.
9 Unfortunately September is probably the worst
10 month for DoD or VA travel because it's the end
11 of the fiscal year and usually there's --
12 there's not money available and, again, with
13 the continuing resolution we've picked a
14 November date. But Mr. Ritter had still
15 suggested that St. Louis might be better in
16 order to see if we can get more participation
17 from atomic veterans since it's mid-country and
18 each coast would be equally available. I'm not
19 sure that that is -- is going to be -- have
20 that much of a weight factor.
21 The other recommendation was -- the other
22 recommendation was in the Tidewater area,
23 either Norfolk or Virginia Beach, where there's
24 a large concentration of retired person--
25 retired personnel. So I would ask -- I would

1 ask for any other recommendations for the
2 November meeting, preferably not in -- in
3 Nebraska.

4 **COLONEL TAYLOR:** (Off microphone)
5 (Unintelligible)

6 **VICE ADMIRAL ZIMBLE:** What?

7 **COLONEL TAYLOR:** I have a recommendation and it
8 sounds a little strange, but the Nevada -- the
9 Los Vegas area, with proximity to Desert Rock
10 and the Atomic Museum and a few things out
11 there, it's worthy of consideration. There are
12 a lot of members of this Board that have never
13 seen any of that part of it. And if you're
14 aware of it, it'll make more sense to listen to
15 veterans' comments and read things about it.
16 That Desert Rock facility is still in
17 existence, and a visit to it for about a half a
18 day, and the Atomic Museum for a few hours, is
19 some consideration as a spot sometime in the
20 future. That was all I had.

21 **MR. FAIRCLOTH:** Colonel Taylor, isn't Desert
22 Rock still inside DOE's classified confines?

23 **COLONEL TAYLOR:** (Off microphone) I don't
24 believe so. I think -- I will find out for
25 you, but I think this Board would get -- (on

1 microphone) I think this Board would get access
2 to that facility, and a tour of it, without any
3 problem. Considering who we are and what we
4 do, I don't think there's anybody that would
5 say no to taking us through that facility and
6 look at it. Now a lot of it's desert, but
7 there are parts of it that would -- would --
8 would make sense to you and that -- that --
9 that's only my -- my reason for suggesting it.

10 **VICE ADMIRAL ZIMBLE:** And I would impress this
11 Board that -- that our administrative assistant
12 would like to have a place recommended as soon
13 as possible. It takes a long time to -- to
14 establish a (unintelligible) --

15 **COLONEL TAYLOR:** Well, we can look for several
16 -- several meetings out on that, too.

17 **VICE ADMIRAL ZIMBLE:** Well, that's right, but
18 right now we're -- we're set for Austin --
19 we're set for June, we're not set for November
20 and I think we shouldn't leave this meeting
21 today without deciding on a location.

22 **COLONEL TAYLOR:** That's right.

23 **VICE ADMIRAL ZIMBLE:** So we now have
24 (unintelligible) --

25 **COLONEL TAYLOR:** We can postpone that and I'll

1 bring it up later.

2 **MR. PAMPERIN:** Admiral Zimble?

3 **VICE ADMIRAL ZIMBLE:** Yes.

4 **MR. PAMPERIN:** Just to point out, I made a
5 short list of the states that have the largest
6 veteran population --

7 **VICE ADMIRAL ZIMBLE:** Okay.

8 **MR. PAMPERIN:** -- and you're covering Texas.
9 We're in California now. We did Florida. The
10 other -- the next three on the list are
11 Virginia, Washington state and North Carolina,
12 so Tidewater and Seattle are both, you know...

13 **COLONEL TAYLOR:** (Off microphone)
14 (Unintelligible)

15 **VICE ADMIRAL ZIMBLE:** Okay. I think -- Dr.
16 Zeman.

17 **DR. ZEMAN:** Thank you. I wanted to share with
18 the Board a conversation I had at the break
19 with Mr. Nelson Majia who's here from the local
20 VA. What -- what I wanted to share was the
21 idea that the choice of the venue within a city
22 can affect the participation by local veterans.
23 Here in L.A. we've chosen a hotel, for our
24 convenience. We didn't -- we didn't have to
25 brave the Los Angeles traffic to go to

1 someplace downtown, to some other hotel. It
2 was very, very convenient for all of us. It's
3 very inconvenient for anybody living in this
4 L.A. area to brave the traffic, come out to
5 this area and pay \$20 or more to park for the
6 day.

7 So the suggestion is that in selecting a venue
8 within a town, we look at public transportation
9 and general availability to veterans that live
10 in the area. And did you want to maybe expand
11 on that or...

12 **MR. MUNAJILLO***: My name is Dennis Munajillo.

13 I'm the CMP/POW* minority coordinator for
14 greater Los Angeles VA hospital.

15 (Unintelligible) mention transportation
16 (unintelligible) from New York, California is
17 one of the worst place traveling. This area is
18 very hard to park and it costs a lot of money.

19 Now if you go to the center of L.A. you will
20 have more participation, you will have more
21 attendance. Down here to the -- close to the
22 airport, very hard. If you find a place closer
23 to the middle of town, you will have more
24 participation, you will have more attendance.

25 That's my suggestion.

1 **VICE ADMIRAL ZIMBLE:** Okay, thank you. I think
2 that's an excellent suggestion. Dr. Zeman.

3 **DR. ZEMAN:** And in thinking about that, the
4 thought that crossed my mind is that --

5 **UNIDENTIFIED:** (Off microphone)
6 (Unintelligible)

7 **DR. ZEMAN:** -- if -- if we're interested
8 drawing veterans who are using or who have
9 sought VA health care, we might even consider
10 meeting at a VA hospital in one of the cities.

11 **MR. PAMPERIN:** We've done that with POW
12 Advisory Committee and with other committees.
13 That would not be difficult to arrange.

14 **UNIDENTIFIED:** (Off microphone) Does it work?

15 **MR. PAMPERIN:** I was out here about three years
16 ago for a POW Advisory meeting and there were
17 probably about 60 or 70 POWs in the audience.

18 **VICE ADMIRAL ZIMBLE:** All right, let -- if --
19 if we make -- if we accept the premise that a
20 VA hospital would be a good locus, I want to
21 turn to the VA hospital experts and ask which
22 of the cities that we've mentioned would be
23 more ideal in terms of VA hospital
24 accessibility.

25 **MR. PAMPERIN:** Well, I -- correct me if I'm

1 wrong, but I don't believe there's a VA
2 hospital in Austin. The closest one is Audie -
3 -

4 **UNIDENTIFIED:** (Off microphone)
5 (Unintelligible)

6 **MR. PAMPERIN:** -- Audie -- there is one in
7 Austin?

8 **UNIDENTIFIED:** (Off microphone) Yes, sir,
9 (unintelligible).

10 **MR. PAMPERIN:** There's a clinic, yeah. The
11 closest hospital is Audie Murphy in San Anto--

12 **UNIDENTIFIED:** (Off microphone)
13 (Unintelligible)

14 **VICE ADMIRAL ZIMBLE:** Yeah. Yeah, Audie Murphy
15 in San Antonio.

16 **MR. PAMPERIN:** Yeah.

17 **VICE ADMIRAL ZIMBLE:** But let's -- let's talk
18 about the -- not so much --

19 **MR. PAMPERIN:** But there -- but there is a
20 hospital in Hampton -- Hampton Roads.

21 **MR. MUNAJILLO:** If you don't mind my saying so,
22 even if there's not a hospital, but if you get
23 close to a town, transportation
24 (unintelligible) state. Now like New York,
25 Detroit, Chicago, they have easy

1 transportation. It would be better, you'd have
2 more attendance. I myself was one hour looking
3 for parking around here and I'm driving a
4 government car. I have to put them in the
5 parking lot and it cost me \$20, and that's just
6 here to listen, you know. So many of those
7 people out there are not working and they are
8 veteran, I believe it would be easier to get
9 right to the middle of the town, my suggestion.

10 **VICE ADMIRAL ZIMBLE:** No, I think it's an
11 excellent suggestion. I think that's what
12 we're -- that -- yes, ma'am.

13 **MS. BANKSTON:** (Off microphone)
14 (Unintelligible) had a lot of the
15 (unintelligible) DAV and American Legion that
16 (unintelligible) --

17 **VICE ADMIRAL ZIMBLE:** Right.

18 **MS. BANKSTON:** -- (unintelligible) and that way
19 (unintelligible).

20 **VICE ADMIRAL ZIMBLE:** Right. That's good.
21 That's a good suggestion. I would say, though,
22 that since we are a veterans' advisory
23 committee and since the hospitals will have --
24 be able to accommodate a good patient load,
25 that a hospital venue might be more

1 appropriate, considering that we're looking at
2 -- at -- at illnesses, various conditions that
3 might be related to ionizing radiation, so I --
4 but I appreciate that -- I appreciate that
5 input.

6 Go ahead, Ken.

7 **MR. GROVES:** I think that there are a number of
8 issues to be considered here, and I think that
9 as -- as you had mentioned earlier, we would
10 really like to make a decision this afternoon
11 on the follow-up meeting to Austin, is that it
12 wouldn't be unreasonable to choose the
13 Norfolk/Virginia Beach area, which -- and look
14 at the opportunity of taking advantage of the
15 VA hospital in Hampton Roads. And that -- that
16 can kind of move us -- and I'd be willing to
17 take on, as the Communication and Outreach
18 Subcommittee, information on choices and venues
19 and make a presentation at the Austin meeting.

20 Then that can -- and so start thinking about
21 meetings beyond the Virginia one, but --

22 **VICE ADMIRAL ZIMBLE:** Yeah. Okay, beyond --

23 **MR. GROVES:** But maybe it would just be easier
24 today to --

25 **VICE ADMIRAL ZIMBLE:** Go ahead.

1 **MR. GROVES:** -- to choose the Norfolk area and
2 --

3 **VICE ADMIRAL ZIMBLE:** We'll go with Tidewater -
4 -

5 **MR. GROVES:** Yeah.

6 **VICE ADMIRAL ZIMBLE:** If there's no objection,
7 we'll go with the Tidewater area and -- and
8 hotel close -- close to the VA so that we can -
9 - we can work all those logistics. I -- I
10 really like the idea of Las Vegas -- not for
11 that reason. I really like the idea of Las
12 Vegas to be able to visit some of these sites
13 that have been -- we're talking about.

14 **MR. GROVES:** And let me just add to that -- you
15 know, I'm retired from Los Alamos National
16 Laboratory and we had a -- a Presidential
17 advisory committee from the University of
18 California and it was no problem for me to
19 arrange a tour of the Nevada Test Site. And in
20 fact, Ronnie, it was -- the person who was the
21 lead person was a -- was a DTRA person, who did
22 a great job of setting up the tour to the
23 different sites and things. And so it would
24 not be a problem for a committee such as this
25 to have that access granted, so...

1 **VICE ADMIRAL ZIMBLE:** I'd -- I -- I would
2 appreciate your committee looking at that. I
3 would also mention, if I'm not mistaken, Nellis
4 Air Force Base is co-linked with a VA hospital,
5 is it not?

6 **UNIDENTIFIED:** (Off microphone)
7 (Unintelligible)

8 **MR. PAMPERIN:** Yes. We have a -- we have new
9 medical center I think in Las -- Las Vegas.

10 **VICE ADMIRAL ZIMBLE:** Okay. And there's
11 something in New Mexico as well.

12 **MR. GROVES:** Yes, there is, there's a -- there
13 is the -- of course the National Atomic Museum
14 is in Albuquerque, as is a very extensive
15 veterans' medical center, which is a joint U.S.
16 Air Force/veterans' -- veterans' facility.

17 **VICE ADMIRAL ZIMBLE:** Okay. Well, then we're
18 going to go to Tidewater for November, and the
19 subsequent meeting will be suggested to us when
20 we are in Austin, Texas by the -- by
21 Subcommittee 4. Thank you very much.
22 Do we have any other -- is there any other
23 business that needs to be brought before this
24 Board before we adjourn?

25 **MR. WYANT:** (Off microphone) Could I put two

1 cents in?

2 **VICE ADMIRAL ZIMBLE:** You've already put in a
3 nickel, but I -- it's okay.

4 **MR. WYANT:** (Off microphone) (Unintelligible)
5 talk about (unintelligible).

6 **VICE ADMIRAL ZIMBLE:** Okay.

7 **MR. WYANT:** (Off microphone) (Unintelligible)
8 retired (unintelligible) Reno, Nevada because
9 (unintelligible) Las Vegas (unintelligible)
10 nine different (unintelligible) we walked a
11 mile (unintelligible) to get to the convention
12 room and right across the way was a brand new
13 (unintelligible) not one of us ever
14 (unintelligible).

15 **VICE ADMIRAL ZIMBLE:** Okay. Okay.

16 **MR. WYANT:** (Off microphone) And the rates were
17 \$125 a month --

18 **VICE ADMIRAL ZIMBLE:** Right.

19 **MR. WYANT:** -- a night, plus tax.

20 **VICE ADMIRAL ZIMBLE:** Right.

21 **MR. WYANT:** (Off microphone) If you think
22 you're going to get (unintelligible) Social
23 Security (unintelligible) to spend \$125 a night
24 plus (unintelligible).

25 **VICE ADMIRAL ZIMBLE:** Okay. Thank you.

1 **MR. WYANT:** (Off microphone) (Unintelligible)

2 **VICE ADMIRAL ZIMBLE:** Okay.

3 **MR. WYANT:** (Off microphone) (Unintelligible)
4 make sure (unintelligible) airport
5 (unintelligible).

6 **VICE ADMIRAL ZIMBLE:** All right.

7 **MR. WYANT:** (Off microphone) International. I
8 mean (unintelligible). But I guarantee you
9 (unintelligible) \$50 or \$60.

10 **VICE ADMIRAL ZIMBLE:** Okay, thank you. I would
11 like to close this meeting, first by thanking
12 our VBDR support staff -- Isaf Al-Nabulsi, our
13 program administrator, and Melanie Heister and
14 Carlotta Teague. We thank you for all the
15 effort that you've gone to to put together a
16 very comfortable meeting that's been well-
17 supplied, and we're very grateful for that. I
18 also want to thank all the audio-visual folks
19 that have done a super job -- except for the
20 dog barking -- have done -- have -- have done a
21 wonderful job in -- in supporting us and -- and
22 I thank -- thank you. This hotel has been
23 terrific. It's given us everything we've asked
24 for and -- and so I thank the hotel staff and
25 I'd appreciate it if you'd pass that on to the

1 hotel staff. I want to thank all the Board
2 members for their dedication and diligence, and
3 especially to all the work of the subcommittees
4 in putting together four excellent reports.
5 And -- and last but not least, I want to thank
6 the participation of the atomic veterans for
7 bringing us information and allowing us to have
8 a little bit more insight into the concerns and
9 -- and problems that you face. So thank you
10 all. Enjoy the rest of Friday the 13th and try
11 to stay safe, don't walk under ladders or break
12 any mirrors. Thank you very much. The
13 meeting's adjourned.

14 (Whereupon, the meeting was adjourned at 3:30
15 p.m.)

16


1

C E R T I F I C A T E O F C O U R T R E P O R T E R**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of January 13, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 13th day of February, 2006.



Steven R Green CCR

STEVEN RAY GREEN, CCR**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**