

THE VETERANS' ADVISORY BOARD ON DOSE RECONSTRUCTION

MEETING IX

The verbatim transcript of the Meeting of the Veterans' Advisory Board on Dose Reconstruction held at the Hyatt Regency Bethesda Hotel, Bethesda, MD, on June 10, 2009.

**STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTERS
404/733-6070**

C O N T E N T S

June 10, 2009

CALL TO ORDER AND OPENING REMARKS (DESIGNATED FEDERAL OFFICER)	8
CHAIRMAN'S WELCOMING REMARKS AND INTRODUCTION OF THE VBDR MEMBERS (VADM JAMES ZIMBLE, USN (RET.))	8
VETERANS HEALTH ADMINISTRATION PROCEDURES AND THE IONIZING RADIATION REGISTRY (VICTORIA CASSANO, MD)	14
BEGIN BOARD DISCUSSION OF THE FUTURE OF THE VBDR	47
PUBLIC COMMENT SESSION	57
UPDATE ON THE NUCLEAR TEST PERSONNEL REVIEW (NTPR) DOSE RECONSTRUCTION PROGRAM (DR. PAUL BLAKE)	107
UPDATE ON THE VA COMPENSATION AND PENSION PROGRAMS FOR VETERANS & VETNET (MR. BRAD FLOHR)	140
A REPORT FROM SUBCOMMITTEE 1 ON DTRA DOSE RECONSTRUCTION PROCEDURES (MR. HAROLD BECK)	176
A REPORT FROM SUBCOMMITTEE 2 ON VA CLAIMS ADJUCICATION PROCEDURES (DR. KRISTEN SWENSON)	212
A REPORT FROM SUBCOMMITTEE 3 ON QUALITY MANAGEMENT AND VA PROCESS INTEGRATION WITH DTRA NUCLEAR TEST PERSONNEL REVIEW PROGRAM (DR. CURT REIMANN)	222
VETERANS COMMUNICATION EFFORTS A REPORT FROM SUBCOMMITTEE 4 ON COMMUNICATION AND OUTREACH (MR. KENNETH GROVES)	251

RESUME BOARD DISCUSSION OF THE FUTURE OF THE VBDR	267
PUBLIC COMMENT SESSION	304
COURT REPORTER'S CERTIFICATE	311

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an exact (sometimes incorrect) usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

In the following transcript (off microphone) refers to microphone malfunction or speaker's neglect to depress "on" button.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

ADVISORY BOARD MEMBERSCHAIR

ZIMBLE, JAMES A., M.D.

DESIGNATED FEDERAL OFFICER

WRIGHT, ERIC

DTRA

MEMBERSHIP

BECK, HAROLD L.

BLAKE, PAUL K., PH.D., CHP

DTRA

BOICE, JOHN DUNNING, JR., SC.D.

INTERNATIONAL EPIDEMIOLOGY INSTITUTE

FLEMING, PATRICIA ANN, PH.D.

ST MARY'S COLLEGE

FLOHR, BRADLEY, VA

COMPENSATION AND PENSION SERVICE

GROVES, KENNETH L., CDR, MSC, USN (ret.)

S2-SEVORG SERVICES, LLC

LATHROP, JOHN, PH.D.

LAWRENCE LIVERMORE NATIONAL LABORATORY

MCCURDY, DAVID E., PH.D. (NOT PRESENT)

REIMANN, CURT W., PH.D.

NIST

RITTER, R.J., FORMER NCO, USN

SWENSON, KRISTIN N., PH.D.
RAD AMERICA II, LLC

TAYLOR, GEORGE EDWIN, COL. USA (ret.) (NOT PRESENT)

VOILLEQUE, PAUL G., CHP
MJP RISK ASSESSMENT, INC.

ZEMAN, GARY H, SC.D., CHP, CDR, MSC
ARGONNE NATIONAL LABORATORY

SIGNED-IN AUDIENCE PARTICIPANTS

BARNHILL, PATTY, VBDR
BELL, TOM, VBDR
BUMGARNER, ROBERT L., M.D.
CASE, DIANE L., DOL
CASSANO, VICTORIA A., M.D., DVA
COHEN, BARBARA, NMFA
COX, FREEMAN M., VETERAN
FIFIELD, MARILU, VFW
GUIDRY, MARK, DTRA
HOOTEN, KATE, DTRA
JOHNSON, JOSEPH
KING, ROBERT EUGENE, VETERAN
LEWIS, BLANE, DTRA
MANNER, RANDY, MGEN, Acting Director, DTRA
MEEKS, TONY, Lt Col, USAF, Aide to the Director, DTRA
MORALES, CARLOS A., VETERAN
NOEL, PAUL I., RETIRED USAF
O'SHINSKY, SAM, US DOL
OWENS, BURGELL
OWENS, CLARENCE B.
RADEMACHER, STEVEN E., PH.D., AF SAFETY CENTER
ROGERS, BEVERLY L.
ROGERS, ELTON E., ATOMIC VETERAN
SANDERS, JERRY, CDR, USN, NTPR Program, DTRA
SCHAEFFER, D. MICHAEL
TEAGUE, CARLOTTA, NCRP/VBDR
TENFORDE, THOMAS S., PH.D., NCRP
TODD, MELANIE H.
WRIGHT, ERIC, DTRA

P R O C E E D I N G S
JUNE 10, 2009

(8:30 a.m.)

1
2 CALL TO ORDER AND OPENING REMARKS

3 **MR. WRIGHT:** Board members, take a seat, and
4 we're ready to start the ninth meeting of the
5 Veterans' Advisory Board on Dose Reconstruction
6 (VBDR). This is a federal advisory committee,
7 and my name is Eric Wright. I'm from the
8 Defense Threat Reduction Agency (DTRA). I'm
9 also the Designated Federal Officer for this
10 meeting, and what that means, the purpose of my
11 being here, is to make sure we follow the
12 Federal Advisory Committee Act (FACA), the
13 Sunshine Act, and the GSA rules and regulations
14 that govern Department of Defense (DoD) federal
15 advisory committees.

16 Welcome. We're very happy to see you here this
17 morning. And without further ado, I'll turn it
18 over to the Chairman, Vice Admiral (Ret.) James
19 Zimble.

20 CHAIRMAN'S WELCOMING REMARKS AND INTRODUCTION OF THE VBDR

21 MEMBERS

22 **VICE ADMIRAL ZIMBLE:** Thank you very much. I
23 am Dr. Zimble, the Chair of this committee, and
24 I welcome all our guests. Certainly it's nice

1 to see our Board back in session again. It's
2 been a while. It's amazing, but you know, we
3 have almost convened -- I think over the last
4 four years this VBDR's been in existence -- and
5 this is our ninth annual meeting of the Board.
6 No, that's not -- I guess it's not an annual --
7 it's hard to get nine annual meetings in four
8 years. But it is our ninth meeting. I think
9 we can be very proud of what we've accomplished
10 in addressing some of the problems and the
11 processes of the agencies in accommodating our
12 veterans, which is the most -- which is our
13 vital role.

14 And for the guests, for the new guests, I would
15 welcome you and hope that we can answer any
16 questions that you have over the course of this
17 meeting today. We'll talk about our
18 accomplishments and where we hope to go in the
19 future.

20 There are still items that need to be
21 addressed, and we're going to enter into some
22 serious deliberations regarding what the future
23 of this organization should look like so that
24 we can best accommodate the atomic veterans.
25 That's our role.

1 The program for the atomic veteran is unique in
2 one respect, and that is that there are two
3 executive departments within the government
4 that are responsible for carrying out the
5 program: both the Department of Veterans
6 Affairs (VA), which is your advocate, as well
7 as the Defense Threat Reduction Agency.
8 Specifically, one element of that agency, which
9 is the NTPR, the Nuclear Test Personnel Review
10 (NTPR), portion of DTRA, which basically
11 examines the scenarios that -- within which you
12 participated to determine what level of
13 potential threat might exist, based upon the
14 dosage of ionizing radiation.
15 It's a complicated procedure. It is a lengthy
16 procedure. Hopefully we'll be able to shorten
17 it a bit more than we have so far, but I think
18 we have converted, in most cases, years of
19 waiting to just a few months of waiting for a
20 report. And that is a significant achievement,
21 and I congratulate the Board for its excellent
22 work in coming up with good recommendations to
23 advise the two agencies. And I commend both
24 agencies for their responsiveness when we
25 provide advice. It's so nice to provide advice

1 to people that they actually say okay, we'll do
2 that. And in my life that doesn't happen very
3 often.

4 At any rate, we'll need to get started. I
5 would like to go to our first set of slides and
6 just to give you an idea about what this Board
7 is all about.

8 We were created by Congress by Congressional
9 legislation and -- if you'll show the slide on
10 the responsibilities for the Advisory Board, it
11 comes -- yeah. There we go. Next slide,
12 please.

13 This is what Congress has asked this Board to
14 do. And if -- you have within your folders,
15 your information folders, you should have one
16 or two sheets that provide the biographies of
17 all the members of the Board, and I want you to
18 note the level of expertise that has been
19 assembled in order to fulfill the requirements,
20 the mandates, of Congress.

21 But we are required by Section 601 of the
22 Public Law 108-183 to do several things.

23 First, we're to provide gui-- providance (sic),
24 basically we're to provide advice and oversight
25 of two processes, the dose reconstruction

1 process -- the process of determining what the
2 -- what dose you would have received of
3 ionizing radiation in your participation with
4 the various programs that are under the atomic
5 -- the Atomic Veteran Act, to provide advice on
6 that dose reconstruction program. And also to
7 provide advice and guidance and oversight to
8 the Veterans Administration on the way the
9 claims are being processed.

10 And then we also are tasked to help with the
11 communications, the communications between the
12 two agencies and you, to make sure that you're
13 getting good transmission and receipt of the
14 information that you need to be able to get
15 what's due.

16 Now let me go to the next slide and talk about
17 what responsibilities we don't have. I think
18 this is very important for you to know that we
19 are not going to -- we're not in the business
20 of reviewing any individual dose reconstruction
21 cases for a claimant. If you have a claim and
22 you want that review to be done, you need to
23 seek the people who are responsible for doing
24 that. They're the people that can best review
25 that for you, and that would be -- well, the

1 office of Dr. Blake, who is -- oversight,
2 provides the oversight and the direction for
3 the actual processing of the reconstruction of
4 the dose.

5 We can't be an appeal board. There is an
6 appeal board. It already exists. It exists
7 with the Veterans Administration. They have a
8 fairly substantial appeals process, and that's
9 the route that one needs to go if they have an
10 appeal of a claim.

11 We can't really help you individually with your
12 claim. That's not our role. Our role is to,
13 again, advise DTRA or advise the VA.

14 And we can't do anything to change the law.
15 That -- for that you have to seek legislative
16 relief from the members and the staff on The
17 Hill. Now we can't change and revise any of
18 those provisions.

19 We can advise and recommend, to the appropriate
20 authorities, if we think that needs to be done.
21 Furthermore, if you're having some trouble
22 finding out where you should go or what you
23 should do, we as a courtesy, we'll do what we
24 can, share our knowledge with the process, to
25 get you pointed in the right direction. That

1 we can do.

2 And how can you follow -- I mean, you know, for
3 you to make a trip out here just to see what
4 we're doing is awkward. I know that. It's not
5 easy. But you can follow our activities. If
6 you have a computer -- if you have access to a
7 computer, you can follow us on our web site.
8 We give the latest information of what we're
9 doing and where we are in the process is always
10 available, all the testimony, all of the
11 minutes, all of the transcriptions, all of our
12 bios, all of our recommendations, all of the
13 results of the recommendations, all this is
14 available on line. And I think you should have
15 our URL, our address for the web, which is
16 VBDR.org. Or you can call a toll-free line,
17 and we give -- giving you toll-free numbers to
18 call to get information (1-866-657-VBDR (8237)).
19 And for that all being said, again, I hope you
20 find this meeting interesting and productive
21 for you, and that we can help to answer your
22 concerns, or at least address your concerns.

VETERANS HEALTH ADMINISTRATION PROCEDURES AND THE
IONIZING RADIATION REGISTRY (IRR)

23 And with that, let's move on to our first
24 presenter. Our first presenter is Dr. Victoria
25

1 Cassano. Dr. Cassano is new to the VA. She's
2 -- you're new to an organization in the
3 government until you've been there at least a
4 year. She's been five months now with the
5 Veterans Administration. She's an old friend,
6 retired Navy, retired Navy captain, has done
7 much work in undersea medicine. She knows
8 ionizing radiation and she is a pro. This is
9 the first time that we've had an opportunity to
10 meet her officially on the Board, and we're
11 delighted that you're here and you're going to
12 tell us exactly what you do.

13 **DR. CASSANO:** Thank you very much, Admiral
14 Zimble. It's a pleasure to be here, members of
15 the Board. I am very happy to be here and
16 representing Department of Veterans Affairs,
17 Veterans Health Administration (VHA), to the
18 Board this morning.

19 What I wanted to discuss pretty much is the
20 processes and the principles involved in how VA
21 -- Veterans Health Administration actually
22 looks through the claim and -- and does a dose
23 reconstruction if DTRA cannot, and also looks
24 through the medical evidence and medical
25 literature to determine whether, when we're

1 talking about a non-presumptive case, that this
2 particular condition that a veteran is
3 afflicted with is actually radiogenic and
4 service-connected to their exposures as an
5 atomic veteran.

6 And how does this -- the bottom -- oh, the th--
7 with the pretty -- with the pretty little mouse
8 on it. Okay.

9 To start with some of the principles, Public
10 Law 98-542 was signed into law 10/24/1984, and
11 it did several things for the atomic veterans'
12 program. It established guidelines for
13 resolving claims. It established the Veterans'
14 Advisory Committee on Environmental Hazards,
15 which is a -- another advisory board that looks
16 at the entire process of atomic veterans, but
17 in the context of other environmental
18 exposures. And it authorized the Secretary of
19 Defense to prescribe guidelines for preparation
20 of radiation dose estimates, which is done by
21 DTRA. And finally, it established a process
22 for independent dose estimates, as well. And
23 the law is actually -- if you want to look for
24 it, it's in 38 CFR 3.311 is the statute that...
25 Okay. Presumptive -- one of the -- one of the

1 principles that came out of this law was the
2 idea of a presumptive diagnosis, and those
3 conditions that are considered presumptive for
4 service connection for radiation exposure are
5 leukemias and lymphomas, which excludes Chronic
6 Lymphocytic Leukemia and excludes Hodgkin's
7 Disease and includes multiple myeloma. And I
8 will, on a case by case basis, you will find
9 this, amyloidosis and multiple myeloma are so
10 closely connected that there have been cases
11 where amyloidosis has also been considered
12 service connected on a case by case basis.
13 Solid tumors include thyroid, breast, pharynx,
14 esophagus, basically all gastrointestinal (GI)
15 cancers, liver cancer, salivary gland cancer
16 and urinary tract cancer. In 2002 five
17 additional cancers were added to the list, and
18 that included brain, bone, lung, colon and
19 ovary.

20 So for -- if a veteran has a claim for a
21 condition that is considered presumptive
22 service connection, our office up in VHA
23 actually does not get involved in this process.
24 What they need to do is establish
25 participation, which is DTRA's responsibility

1 and they'll talk more about that. And they
2 have to submit to the Veterans Benefit
3 Administration medical evidence of a diagnosis.
4 And all of these are handled at the VA Jackson,
5 Mississippi Regional Office (Jackson VARO).
6 For non-presumptive cases, however -- again, 38
7 CFR 3.311 applies. All cancers are included in
8 non-presumptive diagnoses, so that any veteran
9 who is an atomic veteran, has proved
10 participation, if it's a cancer, it's going to
11 come to us for a -- for adjudication at
12 Veterans Health Administration. Some non-
13 cancerous conditions are included, such as
14 nodular or thyroid disease -- again, on a case
15 by case basis, and it's going to be based on
16 what the dose estimate is that the veteran
17 received.
18 And actually any veteran who, with competent
19 medical authority, has shown that his disease
20 or condition is related to exposure to
21 radiation, then they are capable of submitting
22 a claim through the process to determine
23 whether it is considered service connected --
24 again, on a case by case basis. So we do not
25 preclude anybody from filing a claim for

1 service connection for exposures, an atomic
2 veteran.
3 Just to quickly go through the process, if a
4 veteran files a claim with their Regional
5 Office, they will file a claim locally -- all
6 of those claims then go to Jackson, Mississippi
7 RO. Jackson VARO will request documentation
8 from DTRA. Okay. And what DTRA does in this
9 process is it researches the case and then
10 responds by letter to Jackson VARO. And again,
11 they will go through their process a little bit
12 in more detail. When that confirmation is
13 received by Jackson VARO and Jackson VARO then
14 develops the claim and sends it up to the C&P
15 Service at the Veterans Benefit Administration
16 to continue the process. They then send the
17 case over to me with the complete development,
18 so I have the dose estimates from DTRA. I have
19 all of the medical evidence. And they have
20 given me a synopsis of the case. I actually
21 get the entire case, so if this veter-- if the
22 veteran has had a claim in process for a while,
23 or if there are other conditions that he has --
24 or she has claimed, or other conditions that
25 they have been compensated for, I have that

1 whole file. And hopefully that also includes
2 their active duty medical records, if they are
3 there.

4 What we then do is I will review that case. I
5 will -- and there's several ways that we can do
6 it that I'll go into later. And I render a
7 medical opinion as to whether or not it is
8 unlikely, as likely or not, or more than likely
9 that this particular condition was due to the
10 veteran's exposure to radiation as an atomic
11 veteran. And how that breaks down is if the
12 probability that this was related to radiation
13 exposure is less than 50 percent, then it would
14 be unlikely. If it were around 50 percent,
15 it's considered as likely as not. And if it's
16 way over 50 percent we consider it more than
17 likely.

18 However, in this whole process there are about
19 three steps in which we actually find in favor
20 of the veteran so that if it is -- if at step
21 one it is close to meeting a threshold, it will
22 be put over the threshold, and this occurs
23 several times, and I'll explain this in a
24 little bit.

25 Okay. Where this all occurs is in my office.

1 This is VA Central Office and it's the Office
2 of Public Health and Environmental Hazards. I
3 am the Director of Radiation and Physical
4 Exposures, and we -- it is myself and a health
5 physicist. And what we use for those
6 radiogenic cancers where there is a model or it
7 is already shown that at a certain dose this
8 particular cancer would be considered
9 radiogenic, we use a program developed by NIOSH
10 -- that's the National Institute of (sic)
11 Occupational Safety and Health -- and it's
12 called the Interactive RadioEpidemiologic
13 Program -- and what we do is find -- with a 99
14 percent confidence interval, which means that
15 anything that we -- we look at all of those
16 cases that go way out to include what would be
17 considered two standard deviations so that we
18 are 99 percent sure that we have captured all
19 of the -- all of the possibility that this case
20 is radiogenic. And we also will look at non-
21 cancers or those that are not cons-- or cancers
22 that are considered non-radiogenic, as well.
23 Or -- and we will look at those cases where
24 there is no dose estimate available. DTRA does
25 dose estimates for atomic veterans. Sometimes

1 they help us out with some of the more
2 complicated dose estimates, but for veterans
3 that believe they have been exposed, possibly
4 occupationally for -- to radiation not within
5 the group of veterans that are considered
6 atomic veterans, we will actually try to do a
7 dose estimate. There are -- there are
8 individuals that, because of cancer treatment
9 that they may have had on active duty, were
10 subjected to large -- large amounts of cancer -
11 - or large amounts of radiation, and we will
12 look at those. We also look at more recent
13 radiation exposure and also places like Hanford
14 and -- and other places where known radiation
15 exposure may have occurred above what is
16 considered safe for the general public.
17 The process for us, if it's a skin cancer, we
18 need a specific site because the dose estimate
19 is based on characterization of the site and
20 how much radiation would have affected that
21 particular site. So face, hands, and exposed
22 parts of the body would obviously receive more
23 radiation than those that are -- tend to be
24 clothed.
25 Cataracts, the only type of cataract that is

1 considered radiogenic -- there is only one
2 type. The other two types are not -- and so we
3 look at the record and we have to look to see
4 exactly what ty-- what type of cataract the
5 individual has -- has developed. So hopefully
6 your ophthalmologist has actually has put --
7 the ophthalmologist has actually put down
8 whether it's a posterior subcapsular cataract
9 or a nuclear sclerotic cataract.

10 Tumors, again, we need a specific site excep--
11 unless -- for brain or central nervous system
12 because those -- the central nervous system
13 obviously is ubiquitous in the body, so we --
14 we -- we don't need a specific site for that.
15 And obviously if it's not -- if a cancer is not
16 specific to a target organ, then we need the
17 site of that cancer as well.

18 How do we determine radiation exposure if DTRA
19 hasn't been able to do it? We look at DD1141s
20 which are the occupa-- the occupational
21 exposure record for the veteran. We look at
22 service and medical records. We try to find
23 information from the various departments'
24 dosimetry offices. We will go to the
25 Department of Energy (DoE) if we have to, if

1 somebody was detailed to the Department of
2 Energy. We'll look for records at individual
3 nuclear weapons facilities. And places like
4 Hanford, there are several documents which will
5 help us determine what a dose estimate is for
6 Hanford. And then the Technical Applications
7 Center at the Air Force helps us out with Air
8 Force doses.

9 How do we determine an actual diagnosis? That
10 is based on hospital discharge summaries,
11 operative reports, sub-specialty consultation,
12 pathology reports, and a death certificate if
13 it is a widow that is claiming compensation for
14 a deceased spouse. We really look through
15 these quite thoroughly. I mean I pull records
16 apart. I go through military service records
17 to see if I can find anything that would
18 indicate either, number one, that while the
19 individual was on active duty there was some
20 suggestion that they may have suffered some ill
21 effects acutely if their radiation dose was
22 high, or that they might have been exposed to
23 radiation in other ways while they were on
24 active duty. So it really is a very thorough
25 review and I'm very proud of how we do this.

1 My health physicist works with me and leaves no
2 stone unturned in trying to determine a dose
3 estimate. So you know, I think by the time we
4 come up with a decision, it is a very well
5 thought-out and well-researched decision.
6 Again, looking at service records and medical
7 records, sometimes for some of the cases we
8 don't exactly know where someone has been,
9 especially when you're talking Special Forces
10 or other types of spec ops where there is no
11 record of where an individual was at any
12 particular time, and we take that into
13 consideration. Medical reports since leaving
14 active duty, obviously. And then we use a lot
15 of professional references -- the IARC manuals,
16 which is International Agency for Research on
17 Cancer. We look at the BEIR reports, which is
18 Biological Effects of Ionizing Radiation.
19 There are seven of those, the most recent being
20 number seven. We look through -- I am
21 constantly in oncology and medical texts,
22 medical literature, looking for any updated
23 information that I can find to determine
24 whether there is a possibility of a particular
25 condition that is being claimed being related

1 to radiation exposure. And some of -- this
2 takes time. There is one of me and there's one
3 health physicist, so if I get a case that
4 requires an extensive literature review it's
5 going to take me some while in getting this
6 done.

7 And then we also look for other possible
8 reasons for service connection. I don't
9 necessarily feel that my job is just to answer
10 the -- the question presented to me by VBA,
11 which is what -- is this radiogenic. If I find
12 another reason that somebody has -- has a
13 legitimate claim, then I'm going to put that in
14 my medical opinion, just so that when it goes
15 back everybody knows that there should be a
16 different avenue taken for -- for compensation
17 of this particular case.

18 Now the other benefit that we manage at VHA is
19 the Ionizing Radiation Registry. This was
20 established in 1986, Public Law 99-576. And
21 those that are able to participate in this
22 Registry are on-site participation in nuclear
23 testing -- and that's the nuclear testing that
24 occurred between 1946 and 1962, certain
25 individuals that are proved to have

1 participated in the occupation of Hiroshima or
2 Nagasaki, and internment as a prisoner of war
3 in Japan within a specified radius of Nagasaki
4 or Hiroshima, and other radiation risk
5 activities which I've sort of mentioned before,
6 including Hanford, Amchitka Island and other --
7 other radiation risk activities.

8 In addition, those individual who were either
9 submariners or aviators early on -- there was a
10 theory that if you used naso-pharyngeal radium
11 you could reduce adenoid tissue and therefore
12 people would have less difficulty clearing
13 their ears, and this was used as a preventive
14 technique for submariners and for certain
15 aviators. These people are also entitled to
16 participate in our Registry, and this was
17 written into law November 11th, 1998.

18 And what the Registry is -- you have to show
19 eligibility, and it is an intake medical exam
20 that looks at specific sites that may be more
21 prone to developing radiogenic cancers. It
22 does -- in certain situations it does provide
23 for Priority 6 hospital care and medical
24 services, as well as nursing home care. In
25 addition, it does give us some more information

1 on the health status of veterans that may have
2 been exposed to radiation.

3 To get -- to become a participant in the
4 Ionizing Radiation Registry you have to go
5 through the whole health eligibility process,
6 so when you -- when a veteran would go to a
7 medical center they would ask to speak to the
8 environmental health coordinator and they would
9 do -- they would go through the health
10 eligibility center that then sends the
11 individual's information to DTRA to confirm
12 that there was participation. They would then
13 receive a medical exam, get a letter with all
14 the findings, follow-up examinations on per--
15 periodically are available. And then they also
16 receive the updates through mailings, whether
17 it's newsletters, brochures that we send out,
18 or other information. And finally, the
19 possible Priority 6 enrollment, which is a very
20 nice benefit to have.

21 For resources, for VHA you have my name up
22 there, my e-mail address and my new phone
23 number. I apologize, we had some issues with
24 phone numbers. My office just moved two weeks
25 ago to a different building and all of our

1 phone numbers were changed, so the 202-461-1024
2 is the correct number.

3 And the young lady that manages the Ionizing
4 Radiation Registry's information is also in
5 that -- in your briefing books.

6 And we are upgrading our web site, so you will
7 see some new information on there very soon.

8 Thank you very much.

9 **VICE ADMIRAL ZIMBLE:** Thank you, Dr. Cassano.

10 We really appreciate the update. It's nice
11 having you -- it's refreshing having you
12 representing VHA so that we are sure that we
13 are closing the linkage between VHA, VBA and
14 NTPR -- and the Board, of course -- so that --
15 that's very helpful.

16 For any veteran out there that is an atomic
17 veteran and who is not in the Registry, I urge
18 you to seek an opportunity to get into the IRR.
19 There are benefits such as Priority 6, such as
20 a health examination, and getting into that
21 Registry can be of help. We keep you updated
22 with news from the newsletter that periodically
23 comes from the VHA.

24 **DR. CASSANO:** Thank you very much.

25 **VICE ADMIRAL ZIMBLE:** Anybody on the Board have

1 any questions or comments? Dr. Boice.

2 **DR. BOICE:** Well, first thank you for a very
3 clear and comprehensive overview -- appreciate
4 it very much.

5 I had two questions, one was of curiosity and
6 the second one was one of a simple statistic.
7 The curiosity was you mentioned Hanford a
8 number of times --

9 **DR. CASSANO:** Yes.

10 **DR. BOICE:** -- and I was just curious. I
11 wasn't familiar that the veterans had in any
12 way participated in Hanford in such a way that
13 there would be weapons exposure -- 'cause
14 there's lots of radioac-- you know, Los Alamos
15 and lot of other places, but you kept saying
16 Hanford.

17 **DR. CASSANO:** Becau-- I guess because there was
18 a lot of press about Hanford when that
19 originally occurred, but there were active duty
20 military personnel that were on that -- on that
21 site for various reasons during and after the
22 period --

23 **DR. BOICE:** When they reduced the --

24 **DR. CASSANO:** Yes.

25 **DR. BOICE:** Okay.

1 **DR. CASSANO:** Yeah, and -- and even cleanup
2 details afterward where there was ground water
3 contamination and other contamination. Though
4 the levels that most people received were not
5 high enough to show any radiogenic diseases, we
6 still do -- we evaluate everything that comes
7 to us, and we get several of those probably a
8 year.

9 **DR. BOICE:** Yeah, well, that -- you know,
10 that's interesting. I hadn't thought of it as
11 a -- you know, a weapons --

12 **DR. CASSANO:** It's not --

13 **DR. BOICE:** -- we built weapons, of course, but
14 was not as -- like there was detonations and --

15 **DR. CASSANO:** No.

16 **DR. BOICE:** -- like Hiroshima or Nagasaki or
17 Bikini or --

18 **DR. CASSANO:** Right. Well, no, we -- we unfor-
19 - even though this is the atomic veteran board,
20 we -- my perspective, if it's ionizing
21 radiation, we manage it.

22 **DR. BOICE:** The other quick question, too, was
23 on your experience thus far in having positive
24 responses to the non-presumptive claims. With
25 regard to the presumptive claims, it's pretty

1 straightforward and it's to the veteran's
2 benefit. If in fact he has this condition, all
3 he has to show is that he has it. I have one of
4 these conditions and I participated in one of
5 the weapons activities, and then they are
6 qualified for compen-- compensation or
7 disability, so that's straightforward.

8 But if you have a non-presum-- supposedly, but
9 if you have a non-presumptive, that becomes a
10 little more problematic with the dose
11 reconstruction and is it radiogenic and all of
12 that. Have you had experience on whether then,
13 you know, a series of these non-presumptive
14 ones and what the success rate, I guess, would
15 be?

16 **DR. CASSANO:** It varies. I don't have enough
17 experience over the past five months to say
18 that I have seen enough of any particular type
19 of cancer that I might want to make a
20 recommendation that we look at this for
21 presumptive service connection, though there
22 are a couple that I have looked at that may be
23 close. And a lot of that has to do more with
24 biological plausibility than -- than actual
25 doses. And I men-- I think I mentioned

1 amyloidosis, and the reason amyloidosis falls
2 into that category, if you look at parallel
3 legislation and parallel situations, based on
4 biological plausibility I think in the last
5 Agent Orange update the Institute of Medicine
6 determined, not based on epidemiological
7 evidence but based on biological plausibility,
8 that amyloidosis is so close to multiple
9 myeloma that they would -- they recommended
10 that probably the same biological mechanisms
11 that produced multiple myeloma could possibly
12 also have produced amyloidosis. And therefore,
13 for Agent Orange vets, amyloidosis is now
14 considered service connected.

15 What I did on a case by case basis is well, if
16 the biological plausibility is there for
17 causation in Agent Orange, because it wasn't
18 epidemiologically based, my thinking was that
19 the same biological plausibility applies to
20 amyloidosis because the reasoning was that the
21 same causation for multiple myeloma or same
22 association for multiple myeloma and myeloid
23 cancers in general is now being applied to
24 amyloid. So in those situations there is a
25 positive --

1 **DR. BOICE:** And then a practical follow-up
2 would be but then you have to have a dose -- if
3 you're going to put that into the probability
4 of causation --

5 **DR. CASSANO:** Right.

6 **DR. BOICE:** -- then you would assume the
7 multiple myeloma was the -- that it responded
8 in the same way as multiple myeloma --

9 **DR. CASSANO:** Yes.

10 **DR. BOICE:** -- you got a probability of
11 causation.

12 **DR. CASSANO:** Yes. And so that's how that
13 works. Any other questions from the Board?

14 **VICE ADMIRAL ZIMBLE:** Yes, Dr. Lathrop?

15 **DR. LATHROP:** Yes, Dr. Cassano, you seem a very
16 organized person, so let me ask this.

17 **DR. CASSANO:** You'd be surprised.

18 **DR. LATHROP:** Why the laughter?

19 **DR. CASSANO:** He knows me very well.

20 **DR. LATHROP:** Right. We would very much, on
21 the Board, like to see -- if at all possible --
22 running spreadsheets of how -- how the
23 decisions have been made in terms of awarding
24 compensation or not. Are you keeping a running
25 spreadsheet, are you starting to?

1 **DR. CASSANO:** We have -- we do have a log.
2 Actually I'm -- I'm required by the other
3 advisory committee that -- Veterans Advisory
4 Committee on Environmental Hazards -- to
5 produce a log. I have to tell you, though,
6 that -- I know it sounds like we're splitting
7 hairs, but I render a medical decision based
8 upon whether I think it -- there is an
9 association of as likely as not, or more than
10 likely. The compensation decision is still
11 made by VBA, so --

12 **DR. LATHROP:** Right, that's actually very good
13 because we understand that the compensation
14 decision involves several factors, and we're
15 more interested in what -- what goes to you
16 from the rest of the process --

17 **DR. CASSANO:** Yes.

18 **DR. LATHROP:** -- how that falls on the more
19 likely than not scale.

20 **DR. CASSANO:** I can certainly give you that --

21 **DR. LATHROP:** That would be --

22 **DR. CASSANO:** -- that information.

23 **DR. LATHROP:** -- very helpful.

24 **DR. CASSANO:** It would obviously have to be de-

25 -

1 **DR. LATHROP:** Redacted, sure --

2 **DR. CASSANO:** -- de-identified, completely de-
3 identified.

4 **DR. LATHROP:** -- and we don't -- and that's
5 fine, just by number, erase all names --

6 **DR. CASSANO:** But I can give you that.

7 **DR. LATHROP:** That would be very good. Thank
8 you.

9 **VICE ADMIRAL ZIMBLE:** Okay, I see we have two
10 more -- two more people that have comments, and
11 I'm going to go and -- because I have a bias,
12 we'll go in reverse alphabetical order -- Dr.
13 Zeman.

14 **DR. ZEMAN:** Thank you, Dr. Zimble. I'm not
15 sure -- I guess that means maybe I have to buy
16 lunch, I'm not sure.
17 Dr. Cassano, thank you for the briefing. I'm
18 on the committee -- the subcommittee that
19 reviews dose reconstruction cases, and one of
20 the surprising things for us from time to time
21 is that there are cases that are referred to
22 DTRA for non-radiogenic diseases. And the DTRA
23 team dutifully tries to calculate a dose, but
24 sometimes doesn't even have dose conversion
25 factors for internal organs that are not

1 radiogenic. So what I wanted to ask is what is
2 the process for handling non-radiogenic
3 diseases and whether you would -- for example,
4 you mentioned cataracts. There's one type of
5 cataract that's radiogenic and others that are
6 not. If one of those non-radiogenic diseases
7 came in and a veteran were claiming or asking
8 for service connection for radiation, how --
9 how will you handle those cases?

10 **DR. CASSANO:** I think -- and Dr. Zimble and I
11 sort of had a side conversation on this not
12 long ago, so I'm a little bit prepared for the
13 question. The -- this cuts both directions.
14 Obviously when something is very definitely
15 considered not radiogenic, such as nuclear
16 sclerotic cataracts or some other diseases
17 where there is not a lot of evidence for
18 radiogenecity, it does increase a workload that
19 some would consider unnecessary.
20 However, the amyloid case would never have come
21 to me if we had applied that ruling across the
22 board. So while we see a lot of -- we see a
23 lot of non-radiogenic cancers, non-radiogenic
24 diseases or non-radiogenic cancers, and it does
25 slow down the processes -- 'cause as I said,

1 there's just one of me and one health physicist
2 -- I think in some ways there's benefit to
3 doing it.

4 Now I would -- things like Chronic Lymphocytic
5 Leukemia, which we have never been able to
6 show, sounds like something that could be
7 handled at the local VARO. And unless there
8 is, you know, a posterior subcapsular cataract,
9 the problem is that sometimes when I go through
10 medical records things are -- things ha--
11 because there has not been somebody with
12 medical expertise looking at these records,
13 things are missed that I sometimes pick up. So
14 much as I'd like to -- to have an answer go
15 back to a veteran sooner rather than later and
16 not having it go through this rather protracted
17 process, I'm reluctant to say that I would like
18 these adjudicated at an -- at an earlier part
19 in the process. There may be some way that I
20 might be able to write a protocol for VBA to --
21 to determine which ones definitely can be
22 managed by maybe Jackson VARO or the C&P office
23 rather than coming to us. But there are costs
24 and there are benefits to doing it either way.
25 **VICE ADMIRAL ZIMBLE:** I would just state --

1 would remind Dr. Zeman and the Board that we
2 have made that recommendation already to the
3 Veterans Administration, but they have some
4 entity, either individual or board, of experts
5 on radiogenetic disease review before it goes
6 to the NTPR for dose assessment. I would say
7 that it's obvious to me from dealing -- talking
8 with the VA, they're very, very reluctant to
9 refute a letter or a comment from a -- the
10 private physician regarding the causation. So
11 that's the main issue is the reluctance on the
12 part of the VA to get involved with an argument
13 with a private physician over whether or not
14 it's a radiogenic disease.
15 And considering the current workload, it's my
16 understanding from my good friend Dr. Blake
17 that he can handle these rather expeditiously
18 if they come over to DTRA. They get turned
19 around and sent back very quickly without
20 having to go through the full cumbersome dose
21 assessment. I'd ask Dr. Blake to comment on
22 that, if he cares to.
23 But bottom line is if we have such a case, we
24 know that sending that information over to DTRA
25 does delay the ultimate adjudication of the

1 claim. And so the one thing we can do is, as
2 quickly as possible, get a determination that
3 this is -- this particular condition cannot in
4 any way be due to ionizing radiation and -- and
5 get that claim resolved as -- more quickly.

6 **DR. CASSANO:** I wouldn't want to speculate on a
7 different process here, but certainly I think
8 that we can get people together to look at this
9 and see if there is a -- I mean I would be
10 willing to -- I know VHA would be willing to --
11 work with some people to see if there is a
12 better way to actually do this for these
13 particular cases.

14 **VICE ADMIRAL ZIMBLE:** Again, we know that -- we
15 know that in -- in certain instances we're
16 going to -- the claim is going to be denied.
17 It's going to be denied for a very good, solid,
18 scientific reason, and the faster we can let
19 the claimant know --

20 **DR. CASSANO:** Yes.

21 **VICE ADMIRAL ZIMBLE:** -- that and not encourage
22 them by delay, I think is an important thing
23 for us to recommend.

24 Paul, do you have any further comments on that?

25 **DR. BLAKE:** I'd certainly look forward to if we

1 can work together between DTRA and VHA on these
2 fairly rare cases now. In the past there were
3 a lot more of them. We worked through them.
4 But if they come up, and since they are so
5 unusual, I think simply discussions between my
6 program and Dr. Cassano's --

7 **DR. CASSANO:** Uh-huh.

8 **DR. BLAKE:** -- program on the few that come up,
9 we really could then handle them more
10 expeditiously. Instead of trying to say any
11 policy change or anything like that, which
12 probably is not appropriate, I think phone
13 calls back and forth --

14 **DR. CASSANO:** Uh-huh.

15 **DR. BLAKE:** -- and obviously VBA needs to weigh
16 in on what we're doing here. But I think we
17 can handle them more expeditiously if we can
18 just discuss them. And the difficulty before
19 was I think we got tied up in regulations
20 saying that we really had to handle them
21 formally and we weren't -- we had to go through
22 the formal procedures. But I think some phone
23 calls back and forth could make it happen a
24 little more rapidly. That would be good for --
25 for not only the agencies, but the veterans,

1 too.

2 **VICE ADMIRAL ZIMBLE:** Right.

3 **DR. BLAKE:** And since it's so -- we're only
4 seeing cases like this now maybe one every two
5 or three months.

6 **DR. CASSANO:** Uh-huh.

7 **DR. BLAKE:** So it's really dropped off. It's
8 not that big a thing, and I think we could --
9 based on what you're offering -- we could go
10 that way.

11 **DR. CASSANO:** Uh-huh, I have no problem with
12 that.

13 **VICE ADMIRAL ZIMBLE:** Dr. Reimann?

14 **DR. ZEMAN:** Dr. Cassano, I just want to say
15 thank you for a very thoughtful answer. I
16 appreciate your perspective on managing that
17 issue.

18 **DR. CASSANO:** You're welcome.

19 **DR. REIMANN:** Dr. Cassano, thanks very much for
20 being here. As you may know, the Board also --
21 in addition to the audits of the NTPR and VA,
22 we also have a quality management subcommittee,
23 and Dr. Lathrop has already thoughtfully
24 assigned something to you there which is
25 extremely important. But I just wanted to

1 mention something that I think you're uniquely
2 positioned, of all of the actors in this very
3 important but quite complex program, and so
4 from your vantage point you see played out in
5 your day-to-day work the complexity, and that
6 you have to cut through to the heart of the
7 matter and come up with, you know, a thoughtful
8 response. And certainly what you conveyed to
9 us today indicates that thoughtful response.
10 But from seeing that complexity, we'd sort of
11 like to see you as an honorary member of the
12 quality management team, if you can identify
13 anything along the chain that you see as
14 unnecessary complexity, it would be extremely
15 valuable to pass that on to not only the
16 agencies but this committee, and perhaps we can
17 jointly develop some kind of a design that gets
18 around that problem. So you see the whole
19 thing played out, and so everything that we see
20 along the way that is a concern in effect
21 accumulates and -- and ends up on your desk, so
22 clearly if that complexity were reduced, you
23 would also be one of the primary beneficiaries
24 of that because you would be able to apportion
25 your time more to those cases where there's

1 much more of a value added in your time and the
2 unique capability that your team brings to it
3 to solve the -- some of these other issues. So
4 we'd like to think of you as sort of an
5 honorary member of our small team here.

6 **DR. CASSANO:** Well, thank you -- thank you very
7 much. I did, in probably not the most
8 appropriate way, appreciate the term
9 "accumulation" because they do tend sometimes
10 to accumulate. And again, it's the same answer
11 as I gave to Dr. Zeman, it cuts both ways. I
12 mean any process that you put in place that
13 would eliminate some of the -- from our
14 perspective -- unnecessary complexity might
15 also end up presenting a problem with not
16 finding something that's buried. And you know,
17 we ca-- we would have to really think hard on
18 how we could do this in a way that does not
19 mitigate against the veteran because in -- the
20 ultimate beneficiary of this whole process is
21 hopefully the veteran, who has waited long for
22 -- for an answer. And any way that we can
23 speed that process up, I -- I think would help
24 all of us.

25 **VICE ADMIRAL ZIMBLE:** Thank you very much, we

1 very much -- oh, wait, wait, wait.

2 **DR. CASSANO:** Right.

3 **VICE ADMIRAL ZIMBLE:** First thing -- first
4 thing, accepting an honorary membership from --
5 from Dr. Reimann --

6 **DR. CASSANO:** Means I work. Right?

7 **VICE ADMIRAL ZIMBLE:** -- frequently involves a
8 great deal of work. I just wanted you to
9 understand that that -- that potential is
10 there, but -- but it is -- it's -- I'm glad
11 that you accepted.

12 **DR. CASSANO:** Well, yeah, I -- you know -- I
13 probably -- never mind. I keep forgetting I'm
14 on the record so I'd better -- I'd better watch
15 it. But yeah, I appreciate being an honorary
16 member and I will actually do some work for
17 you, as I can. Thank you very, very much.

18 **VICE ADMIRAL ZIMBLE:** Dr. Fleming.

19 **DR. FLEMING:** This is just a question of
20 information. Could you clarify again, after
21 you render the medical opinion, where does it
22 go?

23 **DR. CASSANO:** The medical opinion goes back to
24 C&P Service over at the Veterans Benefit
25 Administration, Mr. Flohr's shop, and they

1 render an advisory opinion that goes back to
2 the local VA Regional Office (VARO), and --

3 **DR. FLEMING:** So it does not go back to Jackson
4 VARO?

5 **DR. CASSANO:** I'm sorry, it does go to Jackson
6 VARO.

7 **DR. FLEMING:** Oh, it does? Okay.

8 **DR. CASSANO:** Yes.

9 **DR. FLEMING:** That's what I wanted to find out.

10 **DR. CASSANO:** It goes back to Jackson VARO.

11 **DR. FLEMING:** It goes back to Jackson VARO, it
12 doesn't -- and then does it go back to the
13 local VARO after that? Okay, thank you.

14 **DR. CASSANO:** You're welcome.

15 **VICE ADMIRAL ZIMBLE:** The rating decision will
16 be -- will be at Jackson VARO? Okay.

17 **DR. CASSANO:** Okay.

18 **VICE ADMIRAL ZIMBLE:** Jackson VARO will make
19 the rating decision rather than the local VARO,
20 but --

21 **DR. CASSANO:** Okay, sorry about that.

22 **VICE ADMIRAL ZIMBLE:** Okay.

23 **DR. FLEMING:** C&P doesn't make the rating
24 decisions?

25 **VICE ADMIRAL ZIMBLE:** No. No.

1 **DR. FLEMING:** Okay.

2 **VICE ADMIRAL ZIMBLE:** All right. Thank you
3 very much, Dr. Cassano --

4 **DR. CASSANO:** You're very welcome.

5 **VICE ADMIRAL ZIMBLE:** -- we very much
6 appreciate it.

7 I would like to acknowledge -- first of all,
8 welcome -- we're very honored to have Major
9 General Randy Manner here with us today. He is
10 the Acting Director of the Defense Threat
11 Reduction Agency, and I'm truly honored that
12 you could take the time from what is a busy
13 threatening time for this nation to be able to
14 come here and spend some time with the veterans
15 and with the Board. Thank you very much.

16 **BEGIN BOARD DISCUSSION OF THE FUTURE OF THE VBDR**

17 And now there's a portion here on the agenda
18 for us to start talking about -- a little bit
19 about our future in the -- the future of the
20 Board in continuing to provide support to the
21 two agencies with the level of expertise of
22 this Board, and I've asked for recommendations
23 from the various Chairs, and I'm hoping that
24 later when we have the reports from those
25 subcommittees that their reports will include

1 something towards where we should be going.
2 In the meantime, I did ask Dr. Lathrop to
3 provide a letter. At that time I was wondering
4 whether or not this VBDR could be transformed
5 into a different Board to do different
6 functions, and I asked that maybe we have a
7 letter that would go to our two sponsors --
8 through our two sponsors back to The Hill to
9 request a modification of this Board. And that
10 letter has been prepared -- I don't know -- Mr.
11 Bell, did we make copies of this for the Board
12 members will all have that letter? Do you all
13 have that letter?

14 **MR. BELL:** You need to hand them out, Doctor
15 Zimble. I may need to make some more copies.

16 **VICE ADMIRAL ZIMBLE:** You all have copies?
17 Okay. Okay, I won't read to you what you
18 already have read. It's an excellent letter.
19 It really helps to define where we should go,
20 and I would ask Dr. Lathrop if you would mind
21 making some comments regarding the rationale
22 for this, the content of this letter.

23 **DR. LATHROP:** Thank you, Admiral Zimble, I'd be
24 happy to do that. You have the letter in front
25 of you and I won't read through the letter, but

1 I'll give you the gist, and that is that to
2 date the VBDR has frankly been quite successful
3 and really it's -- we've just been -- the
4 Advisory Board, the real success and change has
5 been accomplished by the Nuclear Test Review
6 work of Dr. Blake and the VA, as has been
7 mentioned by Dr. Cassano.

8 The two big changes that have been instituted
9 by the two agencies in the -- to the great
10 benefit of the veteran as, on the DTRA side --
11 I apologize, when I wrote the letter I didn't
12 have all the information in front of me, but as
13 is always the case, when I talked to Dr. Blake
14 he had the numbers right off the top of his
15 head. We've made -- as an Advisory Board we've
16 made 47 recommendations to the two agencies,
17 and we kept waiting for them to come back to
18 us, to strangle us with frustration, but in
19 fact the 47 recommendations have resulted in
20 very significant changes to the benefit of the
21 veteran in -- in -- there's two big ones.

22 There's a lot of them, but the two big ones are
23 the backlog of atomic veterans' claims used to
24 be -- at one point, for various reasons, was as
25 high as 1,600 claims, 1-6-0-0, and now the

1 backlog is about 60, 6-0. The average
2 processing time has been reduced from something
3 like nine months -- tellingly, we don't know
4 quite what it used to be, but it's something
5 like nine months -- to now something like two
6 months. And the maximum processing time has
7 been reduced from about four years to less than
8 six months, and this is by a lot of very good
9 work by Dr. Blake's group, partly in response
10 to suggestions from the Board to institute a
11 set of expedited procedures to work things
12 through -- still in the veteran's favor -- when
13 particular sort of fast-track processes could
14 be done to get the claim processed in a very
15 good way.

16 The second major improvement has been on the
17 Veterans Administration side by consolidating
18 all of their operations in the Jackson,
19 Mississippi RO-- I see there now is a typo,
20 it's Jackson, Mississippi, not Missouri -- and
21 in that case, because it's been consolidated in
22 one place, the handling and the expertise and
23 the standardization has taken a marked step
24 function up.

25 So those, and several other things, mark basic

1 changes in the two operating agencies in
2 service of the veteran, and that's quite good.
3 And we're now at the stage when we're -- we've
4 handled most of the changes, and now there's
5 just several other things that should be
6 considered in the future operations of the
7 Board, and they're sort of moving beyond the --
8 the big changes we've had so far. There's been
9 -- 47 recommendations is plenty of
10 recommendations. We're done with that.
11 Now there's three things, as is mentioned at
12 the bottom of the first page, that in my view -
13 - this is just my opinion, my suggestion.
14 Those three things are the need for further
15 work by the Board which does not involve
16 generating yet more recommendations and large
17 changes in the operation, but still very
18 important changes. Those three are listed at
19 the bottom of the page. Basically what remains
20 to work -- and this is after a lot of
21 significant work, especially by Dr. Blake's
22 organization -- in getting a quality management
23 (QM) and quality assurance (QA) system in.
24 This is very important for these cases 'cause
25 these cases are pretty complicated, and you

1 need to engage some management direction and
2 operations procedures to make sure that the
3 claims are all handled in a fair and consistent
4 and documentable way, so everybody knows that
5 every veteran gets the same -- same breaks and
6 same judgments as every other veteran. So that
7 involves setting up a quality management
8 system, and that continues to be somewhat of a
9 work in progress with both of the agencies. So
10 the Board would look forward to, and looks
11 forward to, working with those agencies in
12 setting up the quality management system.
13 That's the first of the three operations which
14 I've suggested.
15 The other two are monitoring ones and would go
16 on, frankly, for an indefinite period of time.
17 The first one is, after you've set up the
18 quality management system, it takes some
19 monitoring from an external agency -- and the
20 Veterans Advisory Board is an appropriate such
21 agency -- to make sure that the quality
22 management is being pursued and worked with by
23 the two agencies in the appropriate way.
24 Finally, the other thing -- and we're very
25 interested in this, too -- is it continues to

1 be the case that we're not confident that every
2 atomic veteran has been reached with enough
3 information so that he can make an informed
4 choice on whether or not to file a claim.
5 Now remember, we're an Advisory Board, and all
6 we can do or hope to do in the pursuit of our
7 mission is make sure that every atomic veteran
8 -- to the extent that we can, get out to as
9 many atomic veterans as we can to give them the
10 information so they can choose whether or not
11 to file for a claim. And we are proposing a --
12 a atomic veterans' outreach campaign which
13 would be an effort that we would be advising on
14 and encouraging both of the operating agencies
15 on to get the information out, the work out, to
16 atomic veterans.
17 Those three things -- the setting up of the
18 quality management, the monitoring of the
19 quality management, and the conduct of the
20 atomic veterans' outreach campaign -- I would
21 suggest are three further operations of the
22 Advisory Board that we can turn our attention
23 to now, now that we've been so successful --
24 actually we've simply been advising -- now that
25 we've had such great success by the two

1 operating agencies. And that's the gist of the
2 letter and that's the gist of my suggestion for
3 the Board's deliberation on where they should
4 go from here.

5 Thank you.

6 **VICE ADMIRAL ZIMBLE:** Thank you, John. I
7 appreciate the word-smithing that you've done
8 to prepare this and to help clarify where you
9 feel that the direction of the Board should go.
10 As you know, we're talking about restructuring,
11 but I'd hate to use that term in today's
12 environment. We're not going into Chapter 11.
13 We -- we're looking to restructure in terms of
14 mission and membership that would make us more
15 efficient and to -- and to help continue to
16 advise regarding the two agencies for their
17 being able to do the very best job they can, as
18 advocates for the veteran. And I think that we
19 can move in that direction.

20 As you might -- I've asked all of you to review
21 the charter, which is at Tab 9, and I think
22 you'll see that if you look it's a very small
23 font so I apologize for that, but if you'll
24 look very carefully you'll see that the
25 membership that's been mandated by the Board --

1 by the charter, by Congress, and the missions
2 that have been given to the Board, would allow
3 us the level of flexibility necessary to do
4 some restructuring without really having to go
5 back and ask permission to do so. So I think,
6 with that clarification, this is a wonderful
7 letter, but I don't have to waste a 44-cent
8 stamp to send one to Congress. I think we can
9 handle that. But at any rate, I think what
10 you've done is pretty well articulate where we
11 need to go, and we can -- we'll be doing some
12 more deliberation on that after we've received
13 all the reports that are necessary.

14 Are there any other comments from the members
15 of the Board at this time? If not -- oh, I'm
16 sorry. Yes, Kris.

17 **DR. SWENSON:** Dr. Lathrop, you mentioned claims
18 processing time reduction from four and two
19 months. Where did you get that information?

20 **DR. LATHROP:** I'm sorry, I should have added a
21 phrase to that. That's the claims processing
22 from the DTRA operation, and I got that in my
23 usual way for getting any sort of facts, I just
24 asked Paul Blake.

25 **VICE ADMIRAL ZIMBLE:** Actually it's the process

1 of the dose reconstruction that's been narrowed
2 down. A lot of -- and by the way, there's a
3 term that you -- a qualification that you
4 didn't mention in the process, which is
5 "expensive" -- we have reduced the total
6 expense of having to do those arduous, full
7 RDAs through the expediting process. It has
8 really been a great help to Dr. Blake.
9 Dr. Blake.

10 **DR. BLAKE:** Just one comment, Dr. Lathrop.
11 When you made your discussions about outreach,
12 you emphasized the veterans, but I think it's
13 important to emphasize their dependents also.
14 The average age of our atomic veteran
15 population is 82. And if you look at the
16 Social Security life tables of our
17 approximately half-million atomic veterans,
18 only about 40 percent are currently living.
19 Even after they're deceased, though -- for
20 instance, the spouse can still file -- and so I
21 think it's important when we emphasize outreach
22 not only to emphasize the veterans but also
23 their dependents because they're also possible
24 beneficiaries through the Veterans
25 Administration.

1 deviated somewhat, and I'll continue to do so.

2 **PUBLIC COMMENT SESSION**

3 At this time I think it would be most
4 appropriate to acknowledge that we have some
5 veterans here that wish to speak and we're --
6 we're always anxious to hear comments from the
7 atomic veterans. That's what this Board has
8 been put together to accomplish, is to listen,
9 to learn, and to see if -- if we can make a
10 difference, if -- if a difference is necessary.
11 So I have -- I have four -- four veterans that
12 would like to speak. The first is Mr. Freeman
13 Cox, and Mr. Cox, if you would step forward.
14 Do we have a microphone? Okay.
15 Okay, we can bring a chair forward for you so -
16 - what's -- here, here, he can sit right there.
17 Okay. Now this will not be an interrogation.
18 Okay, at any rate, the Board is anxious to hear
19 what you have to say.

20 **MR. COX:** I'm Freeman Cox, Bristol, Tennessee.
21 I'm Chaplain in the -- for the Disabled
22 Veterans Chapter 39, and also Chaplain of the
23 Veterans Civic Council of Bristol, Tennessee.
24 A few words on my life as an atomic veteran.
25 There's a lot of things that -- that affect the

1 atomic veteran that I don't think the VA
2 recognize or consider. In 1955 I started
3 working with the nuclear weapons, the Mark V
4 with a separate warhead. As we loaded them we
5 had to make a nuclear insertion each time we
6 loaded them.

7 Sorry, my telephone just went off. I'll cut
8 that off.

9 **VICE ADMIRAL ZIMBLE:** I really like that
10 rooster. I'm going to have to figure out how
11 you --

12 **MR. COX:** Well, I'm a far-- a goat farmer and
13 chicken farmer from east Tennessee, so...
14 But anyway, I started working with nuclear
15 weapons in 1955. We loaded the Mark V on B-45
16 bomber. It was the first weapon for the
17 military, the first weapon for NATO. We were
18 the only defense in the Cold War at that time.
19 This was before SAC and the B-47.
20 But early on I started having symptoms of
21 gastric problems, a lot of anxiety. I suffered
22 from reflux. My food wouldn't digest and food
23 that I would eat sometimes would stay in my
24 stomach for three days. I had a lot of
25 vomiting and headaches, and -- but I -- I went

1 for a number of years in the military. I was
2 in 12 and a half years, and all this escalated
3 with joint disease. I'd lost three inches in
4 my posture by the time I was 30. But I was on
5 my way to Vietnam and they turned me down
6 because of the ulcer disease, and eventually
7 they forced me out of the service.
8 They run some tests and my salivatory (sic)
9 glands was producing seven to eight times the
10 acid of a normal person. I was extremely hyper
11 and anxious, but ended up -- after I got out of
12 the military VA cut me back to ten percent
13 disability and I struggled for many years
14 trying to feed my family. They said if I
15 didn't have a cancer, I didn't have any
16 disease. They reduced my stomach disability to
17 ten percent and I think I drew ten -- \$10 a
18 month for about 20 years.
19 But anyway, each time I would try to get
20 something done at the VA, I wasn't eligible and
21 what-have-you and they referred me to a
22 civilian doctor, which I couldn't afford.
23 But my children was being affected by the joint
24 disease and what-have-you. Right now three of
25 the five are on disability. I've got a

1 granddaughter that's -- has a birth defect that
2 they say is one of the rarest forms of birth
3 defect. The hair follicles grows into the
4 spine instead of out of the skin. They
5 operated -- did surgery on her at three weeks
6 or less and cut a nerve in her back and now
7 she's got a withered left leg and she
8 catheterizes herself to go to the bathroom and
9 what-have-you.

10 After I got on this Registry nobody has
11 monitored me or my family, and I thought that
12 was what the Registry was for. When I tried to
13 get on the Registry I was given a lot of
14 resistance through the VA because they didn't
15 think that -- that I ought to be on it. Well,
16 I was wanting to sign up for it for the very
17 purpose of -- of them monitoring my family to
18 see why they were getting all these -- these
19 effects. I think there's more -- every gland
20 in your body is affected, your nervous system.
21 But the VA says if you don't have cancer, you
22 don't have a disease, and I think they're wrong
23 and that's why I'm here.

24 **VICE ADMIRAL ZIMBLE:** I appreciate your
25 comments. I think -- does anybody on the Board

1 have a comment or a question regarding this
2 testimony?

3 We really don't have good scientific evidence
4 that ionizing radiation can cause -- it can
5 cause more than cancer, we know that. But we
6 don't know about the dose that you received and
7 we don't know about the circumstances, and we
8 need a lot more information. We also don't
9 feel that a lot of the diseases that come with
10 aging are necessarily related to ionizing
11 radiation above the routine exposure that we
12 all face. So I hear what you're saying and I
13 would ask -- we have a representative from the
14 Veterans Benefit Administration who also -- Dr.
15 Cassano. I don't know what sort of
16 availability there might be in the VHA for
17 someone who is already in the Registry. I
18 understand there's a possibility of Priority 6.
19 Is that not true?

20 **DR. CASSANO:** There is a possibility of
21 Priority 6. I am not the smartest person --

22 **VICE ADMIRAL ZIMBLE:** Okay.

23 **DR. CASSANO:** -- in that whole process, and
24 that's something that the health eligibility
25 office --

1 **VICE ADMIRAL ZIMBLE:** Okay.

2 **DR. CASSANO:** -- would be able to help him
3 with.

4 **VICE ADMIRAL ZIMBLE:** Could you provide Mr. Cox
5 sometime today with a point of contact for him
6 to get the information he would need to help
7 him get access to -- a better access, if
8 possible, to the VHA?

9 **DR. CASSANO:** Certainly.

10 **VICE ADMIRAL ZIMBLE:** All right. Thank you.

11 **MR. COX:** I have another -- 'nother question.
12 I had a brother that worked from 1939 until his
13 death at Oak Ridge. He worked in the building
14 Y-12 and he received radiation and he died of
15 lymphatic cancer. Now the Congress has passed
16 laws giving the -- those workers benefits. I
17 was instrumental in getting my sister-in-law to
18 sign up for it and after quite a few years I
19 think she got her compensation three years ago.
20 She's about 93 years old and up until then all
21 she had was Medicare. That agency there, they
22 said they did research and they feel that
23 radiation causes a host of diseases. I asked
24 the VA to allow a Dr. Dolens* at Oak Ridge to
25 check me and do some research and he had a-- he

1 had agreed to it and said if I could get the VA
2 to approve it that he would -- he would do
3 blood work on me and what-have-you. Now I
4 don't know what -- what kind of research that
5 he was doing, but he was with -- doing the
6 research on survivors of Hiroshima and
7 Nagasaki. He was doing research on cattle and
8 what-have-you that had been shipped from over
9 there, and he'd been at Oak Ridge for many
10 years.

11 I put in a formal request to the VA at
12 Nashville, Tennessee and got no response. How
13 close is this Board working with -- with the
14 research that they're doing?

15 **VICE ADMIRAL ZIMBLE:** We have members on this
16 Board that do great -- have the same exposure,
17 that have the same degree of expertise. If you
18 look at the biographies of some of the
19 individuals that sit on this Board, they have
20 all that knowledge. They have all that
21 competence and they are doing research all the
22 time. You have radioepidemiologists. We have
23 health physicists. We have faculty from
24 teaching institutions and from some of those
25 National Laboratories, so we do have that Board

1 expertise.

2 We need to define something, though, for you
3 and for the other veterans here. The term
4 "atomic veteran", although many, many veterans
5 are exposed to ionizing radiation of some form
6 or another, either with nuclear weapons or with
7 oc-- in an occupation where we use medical
8 devices that have ionizing radiation, et
9 cetera. But the term "atomic veteran" as
10 applied to this Board is very specifically
11 defined as those veterans who participated in
12 atmospheric tests of the atomic blasts, both in
13 the Pacific and here at the Nevada Test Site,
14 and to individuals who were occupational forces
15 at Hiroshima and Nagasaki during a specific
16 period of time after the detonation of the --
17 of those two bombs, and to prisoners of war
18 that were also in Japan and in reasonable
19 proximity to where those blasts went off. And
20 that's the entire population with which the
21 Bill that we are talking about affects. You're
22 not included in that.

23 However, if you've been exposed to ionizing
24 radiation, you are eligible for the Registry.

25 **MR. COX:** Uh-huh.

1 **VICE ADMIRAL ZIMBLE:** The Registry includes the
2 physical, to see if there's anything that is
3 related, and in many cases will advance your
4 priority for care within the Veterans
5 Administration. And that, I think, in your
6 case, is the way you need to pursue it.

7 **MR. COX:** Yeah. And -- and in our situation,
8 when I was in England, Anglo-American relations
9 -- the British didn't allow nuclear weapons,
10 they said, on English soil. So supposedly we
11 was using dummy warheads to defend our country
12 and we'd have to fly to Germany to arm the
13 weapons. For two years we were told that we
14 had dummy warheads, and most of the men pitched
15 their dosimeters (sic) in the toolbox and
16 that's where they stayed. Well, myself and my
17 men wore ours, and we had them checked. It
18 ended up -- it came out on the British
19 Broadcasting Corporation (BBC) that Suffolk,
20 England was nuclear. Then the British started
21 demonstrating. They was going to plow up the
22 runway and send us home. From then on we had
23 nuclear warheads. We had the real warheads
24 that we'd been using all the time.
25 All right. These men that I worked with, none

1 of them hardly have anything on their record
2 showing that they had the exposure to
3 radiation. I did, I've got a recorded 63 rads,
4 which is a small amount of what I actually got
5 because a lot of times my dosometer (sic) was
6 on my field jacket laying over beside the plane
7 while I was working on the weapon. I've got
8 men that's got prostrate (sic) cancer, one
9 man's got 20 percent lungs left. Most of them
10 that I worked with are already dead. But they
11 have no claims because they have nothing on
12 their records. They can prove they worked on
13 the weapons, but they can't prove they've got
14 radiation. And I'm here to -- to say that I
15 think that it's lax in the way that our claims
16 are -- are run through. If a man -- if a man
17 worked on the weapons, it should be presumed
18 that if he has the illness, he's got the
19 radiation exposure. But if he doesn't have it
20 on his records, then -- it's kind of like the
21 man out in -- blue water vet in Vietnam that
22 was out on the board of a ship when the
23 atmosphere was full of Agent Orange, but he's
24 not eligible because he was on a ship and
25 didn't have feet on the ground. And our

1 nuclear weapons is the same way. If we can't
2 prove that we were exposed, we have no claim.
3 But if a man's in Vietnam and he's got feet on
4 the ground and he gets -- if he gets sugar
5 diabetes, it's presumed that it's because of
6 Agent Orange. They get more publicity than we
7 do as a -- as a nuclear vet.

8 **VICE ADMIRAL ZIMBLE:** Thank you. Thank you.
9 We appreciate your testimony. We have it
10 recorded.

11 **MR. COX:** All right.

12 **VICE ADMIRAL ZIMBLE:** Okay.

13 **MR. FLOHR:** Sir, I don't know what happened or
14 when you filed your claim with Nashville or why
15 you haven't received a response, but I'll give
16 you my business card. You can call me or send
17 me an e-mail and I will check and find out
18 what's going on and let you know. Okay?

19 **MR. COX:** Okay.

20 **DR. CASSANO:** Mr. Cox, also, I have your e-mail
21 address and I will -- I will send you an e-mail
22 and we -- we may be able to communicate and I
23 may be able to help you out a little bit as far
24 as figuring out where -- where in this process
25 you sit and what we can do over at VHA. And I

1 also do want to thank you very much for your
2 service.

3 **VICE ADMIRAL ZIMBLE:** Yes.

4 **MR. COX:** Well, my case is pretty well
5 established, but I kind of -- concerned about
6 my fellow workers.

7 **VICE ADMIRAL ZIMBLE:** Right. We appreciate
8 that and, again, I -- the whole Board wants to
9 thank you for your service.

10 **MR. COX:** Thank you.

11 **VICE ADMIRAL ZIMBLE:** Mr. King. He stepped
12 out? Okay.

13 All right -- Mr. King? We're ready.

14 **MR. KING:** Can I pass for a while? Or just
15 pass, period -- pass out.

16 **VICE ADMIRAL ZIMBLE:** Oh, okay. Okay. The
17 next I have is Mr. Rogers. Yes, sir.
18 Okay, Mr. Rogers, we've had a private
19 conversation, but let me just tell the Board
20 that this -- Mr. Rogers also, by definition, is
21 -- is not within the category of a nuclear
22 testing at the Pacific Proving Grounds (PPG) or
23 at the Nevada Test Site (NTS) with the bomb.
24 He is unique in coming before us. I don't
25 think we've had anyone speak before the Board

1 yet who is an artilleryman, but he's going to
2 speak about his experience.

3 **MR. ROGERS:** Thank you. I got drafted in the
4 Army in 1950. They tore up my little
5 playhouse, but I stayed with them for 26 years.
6 Anyway, in 1953 the First Sergeant come up to
7 me and says you just volunteered to go to
8 Desert Rock. I said okay, what's that? He
9 told me. He had a young man to go, but this
10 guy was too anxious to get out there to get to
11 Las Vegas, so he said he won't behave; you
12 will. Well, I didn't behave all that well, but
13 anyway -- so they sent me to Desert Rock and we
14 were there a couple of days. You know, they
15 give us a little lecture on stuff, and so then
16 about the third day we went out into the desert
17 there and we lined up -- there was somewhere
18 around 3,500 of us, there was a few troops from
19 each post -- didn't have a company of troops.
20 But anyway, I was on the right wing and we were
21 scattered across here and sort of to the right
22 in front of us was where the explosion was
23 going to take place. This was this artillery
24 piece. And they had never used this artillery
25 piece for atomic explosion. They had the --

1 they had the ammunition for it, but they were
2 going to try it out that time. So we were
3 supposed to be not less than a half a mile from
4 any of the explosion, course we're up like
5 this. And I was on the right wing, right close
6 to it, and so it's -- it's sort of a hypnotic
7 thing when that -- you know, they tell to go
8 down -- go down on the ground in your trench,
9 and at the bottom of that trench, when that
10 thing exploded, the bottom of that trench, you
11 could see the blue light. You know, just like
12 somebody above you with an electric welder.
13 And anyway, so the light goes away. Up -- we
14 watch it, here comes the explosion, you know,
15 comes across, knocks the guy-- some of the
16 guys' hats off, their helmets off. And they
17 had all these little flags sitting out here for
18 your way to go through there so you wouldn't
19 get in ground zero. Well, all the flags went
20 up with everything else. It blew everything
21 away.

22 So then it comes back and it hits us from the
23 rear, which was kind of a surprise. But then
24 it was a big ball of fire just rolling around,
25 and it looked like it was the size of a

1 basketball or a half a mile wide. I mean it
2 just -- it was just amazing. Anyway, when it
3 goes up, we get out and we take off. And on my
4 wing, we went through ground zero.
5 And people said no, you didn't. I said well,
6 the Geiger counter -- I heard this guy -- guy
7 with a Geiger counter over there -- they were
8 in the -- the cadre there were in the white
9 uniforms, had a little window here to look
10 through, and so that Geiger counter was really
11 screaming, and I was going over to ask him what
12 -- you know, are we in this hot place like
13 this. But just as I got to him, a jeep rolled
14 up so he turns this way and I'm right behind
15 him, so I just follow him. So the guy got out
16 of the jeep, this guy gets there and I get
17 there about the time they're talking, but I'm
18 standing behind them, about two feet from them.
19 And the guy with the Geiger counter says man,
20 we got to get out of here, this is so hot. The
21 guy in the jeep says well, we're in ground
22 zero. And he says -- the guy with the Geiger
23 counter says do we want to turn them around,
24 try to get them out of here? The guy in the
25 jeep says no, because if we start trying to

1 turn them around, they're going to be in here
2 longer, just get them on through here. I says
3 did you guys say we're in ground zero? Two
4 people turned and looked, guy with the Geiger
5 counter goes this way, the other guy goes this
6 way and left me standing there, didn't say a
7 word, so I went on through there.
8 And I -- I had a pretty good career in the
9 Army, but they didn't want to talk to me about,
10 you know, this episode I'd been in there in
11 that -- I -- I might have one time, and this is
12 kind of in the warehouse, and there's three of
13 them there and some guy setting over in the
14 dark. They introduced themselves, and the guy
15 in the dark -- I never could see who he was,
16 and -- but we talked a little bit and so they
17 says no, no, no, that didn't happen. I says
18 well, take a polygraph, I will if you guys
19 will. And in fact, I'll take one. They said
20 no, no, the Army doesn't use polygraphs. I
21 said that's weird 'cause in the -- in -- about
22 five years ago I was in the unit so -- you
23 know, and they used a polygraph on everybody,
24 even me. They said somebody steal something?
25 I said yeah, I had two months' pay in the safe

1 and that's why I volunteered to get -- anyway,
2 they -- but they would never want to talk to me
3 about that.

4 And I've had a pretty good (unintelligible)
5 with the -- with the Board on some things. I
6 got a severe injury in basic training, had long
7 profile all the way through the Army, so if --
8 and I -- I applied for disability, they said
9 no, no, that's too far back. Oh? You know.
10 And I don't know whether you remember the pil--
11 the -- what's that tra-- for the heart thing?

12 **UNIDENTIFIED:** I don't know that that's related
13 to your --

14 **MR. ROGERS:** Well, it is. Anyway, the silent
15 ischemia study and -- my memory's bad. Anyway,
16 if you don't -- hadn't noticed already. But
17 anyway, I went up there for something --
18 anyway, a little young doctor, he heard
19 something on the heart and I'd been out of the
20 Army about seven years, and -- but I'd been
21 told before I had this heart problem. But
22 anyway, he heard something and he -- and he
23 kept on with them and they put me in the silent
24 ischemia study and at -- and at night -- well,
25 it's monitor for three days, and at night,

1 those three nights, there was 32 or 33 episodes
2 happened while I was asleep. And so I was in
3 this -- in all this study, and I was one of the
4 first that -- you know, the -- this stents that
5 they use now, I was one of the first on that,
6 and I went out like a light and they almost
7 lost me. Anyway, they wouldn't do that with --
8 to me anymore and told me just keep
9 (unintelligible) around, and I -- most of the
10 time I got a pretty good deal from the VA, and
11 also -- but the -- the Board, you know, that
12 does your -- determines, you know, what's this
13 and what's that, well, they (unintelligible)
14 the silent ischemia study, that's too far back.
15 Well, my understanding when I went into it, I
16 was out of the Army seven years and
17 understanding was that under that, if -- ten
18 years after you're retired, you're recovered.
19 Five years otherwise with that -- anyway.
20 Well, anyway, I didn't get anything out of it.
21 But the biggest thing I have against them is
22 the Board that tests and evaluates your claims
23 in Virginia. You know, they say -- say some
24 things are too far back, too far back, you
25 know. Anyway -- but otherwise, I've had a

1 pretty good deal, so that's about all I have to
2 say.

3 **VICE ADMIRAL ZIMBLE:** Okay. Thank you very
4 much. Any comments or questions? Yes, Mr.
5 Ritter.

6 **MR. RITTER:** Yes, this gentleman is an atomic
7 veteran if he -- if he was at that particular
8 shot. That was Upshot Knothole. That was a
9 Mark 65 280 mm. atomic cannon, and that
10 particular test was code named Shot Grable, if
11 I remember right.

12 **MR. ROGERS:** Yeah, I don't remember, but --

13 **MR. RITTER:** It was a linear device, it was a
14 Mark IX projectile and it was 15 kilotons, and
15 it was at Frenchman's Flat, Nevada, so you are
16 an atomic veteran and DTRA can send you a
17 letter if you give them your service number to
18 that effect.

19 **MR. ROGERS:** Okay.

20 **MR. RITTER:** Thank you, sir.

21 **VICE ADMIRAL ZIMBLE:** Okay, I will retract my
22 opinion. Thank you very much.

23 **MR. ROGERS:** Thank you.

24 **VICE ADMIRAL ZIMBLE:** Yes, sir.

25 **MR. KING:** I was supposed to speak earlier and

1 I asked to wait a moment. Could I...

2 **VICE ADMIRAL ZIMBLE:** Okay, you may certainly -
3 - certainly you may -- you may come forward and
4 speak now, Mr. King.

5 **MR. KING:** My name is Robert E. King, and I'm
6 from Arizona, came out here to see what this
7 meeting was all about. And I think it's a real
8 good idea that -- I didn't even know that there
9 was a -- a committee to do anything about the
10 atomic veterans, and I still don't know whether
11 I qualify because, after you spoke a while ago
12 about being -- either being at -- at an island
13 or -- or seeing an island go or something like
14 that, I -- I didn't see any islands go up in
15 the air, you know. And -- but I did work on
16 nuclear weapons. That was my job.
17 While working on nuclear weapons, and missiles,
18 during the years of '60, the first -- '60, '61,
19 '62 and '63 for the Air Force -- we had a
20 couple of incidents that were considered to be
21 mistakes -- or not mistakes, accidents, excuse
22 me. And these accidents were involving a
23 gaseous type substance which was called tritium
24 at that time. I don't know whether it's still
25 around or not, or been retired along with me or

1 what. But at that time when I was involved in
2 it, I was taken to the hospital for a heart --
3 heart took off racing real fast, you know that
4 stuff, and they took me to the hospital and
5 said that, you know, you've got tritium. You
6 know, you've got gas and you've got radioactive
7 stuff in your -- in your passages below and
8 everything so they -- and then they took me --
9 from Austin, Texas they took me to San Antonio
10 to a bigger hospital it was. So I went to that
11 hospital and I was given quite a bit of beer,
12 and this is -- this beer was a -- was an --
13 said it was about the only thing they could
14 flush your system out with for this -- for this
15 radioactive material, and said that's the only
16 treatment known. And I said well, it's a
17 pretty doggoned good treatment, you know, and I
18 -- I really -- I really liked it, and it was
19 just any time of day, all the beer you could
20 drink and -- and everything, but I got sick of
21 it for breakfast.

22 And after that I was returned back to Bergstrom
23 Air Force Base where I was stationed and
24 working -- working as a nuclear weapons
25 technician -- specialist. They said well,

1 you're not going to work with the weapons
2 anymore, and that sort of broke my heart. I
3 loved that -- that type of work. It was very -
4 - and they said we can either retire you or you
5 get out on your own, whatever you want to do,
6 but you're not going to be allowed to work on
7 weapons anymore. And I -- I wonder what the
8 world's going on here, you know, and they said
9 well -- I said well, shoot, you know, why hang
10 around the Air Force? Maybe they'd put you in
11 a kitchen somewhere washing dirty pans or
12 something instead of working on nuclear
13 weapons. I preferred to work on nuclear
14 weapons, which I -- they said no more. So I
15 was discharged as a -- on a disability, and the
16 disability says non-compensatable, not -- no --
17 you know. If you get anything at all, it'll be
18 through the Veterans Administration if -- if
19 anything, you know, and that was it. But it
20 was a regular honorable discharge. I have all
21 these papers here, and I have proof here, the
22 fact that I was -- I did go to nuclear weapons
23 school and -- and did take care of -- of the
24 older weapons right through the newer ones. It
25 was -- matter of fact, the -- the gentleman

1 mentioned a Mark V or something. I was right
2 in there with that old stuff, you know, and
3 then -- and then they -- they changed the
4 weapons to a more modern type weapon which
5 there was not much to do on. The only thing
6 was that if anything ever went wrong, it really
7 went wrong big, you know, and -- but there just
8 wasn't practically any way for anything to even
9 go wrong, and they said that that tritium can
10 never leak. That just -- just don't happen.
11 Well, it happened twice, once in Turkey and
12 once in -- once in Texas. So the first time,
13 in Turkey, we was out in the middle of nowhere.
14 You couldn't do anything -- I mean about it,
15 you know. You just took the fast heart rate
16 and stuck with it, you know.
17 So that's about all I have to say is -- and --
18 and since then I've been fighting -- not -- not
19 fighting, more or less pleading to be heard by
20 some type of a board, because we were told that
21 now on your retirement here you know that this
22 is all top secret and if you mention anything
23 at all about it, even the word tritium, which I
24 hear on Discovery channel now, the word
25 tritium, all the time -- that if you even say

1 that word you can go to prison for ten years.
2 And so that keeps you quiet for about ten or 15
3 years in itself -- of asking for anything,
4 because how do you do it? How do you ask
5 somebody what's hurting you -- or what -- what
6 hurt you, or tell them what hurt you, without
7 disclosing classified information? So --

8 **VICE ADMIRAL ZIMBLE:** Okay, that information is
9 no longer classified.

10 **MR. KING:** Well, it sure is a mean -- I mean it
11 is a mean substance, yeah, I'll -- I'll say
12 that.

13 **VICE ADMIRAL ZIMBLE:** But all the secrecy
14 around the use of tritium --

15 **MR. KING:** Uh-huh.

16 **VICE ADMIRAL ZIMBLE:** -- which is heavy --
17 heavy hydrogen.

18 **MR. KING:** Yeah, I understand it's called H3,
19 uh-huh.

20 **VICE ADMIRAL ZIMBLE:** H3, but that was -- when
21 related to a weapon, was considered classified.
22 I served on nuclear submarines that we
23 monitored tritium (unintelligible) --

24 **MR. KING:** Oh?

25 **VICE ADMIRAL ZIMBLE:** -- submarines, and so I'm

1 aware that we were told don't you use that
2 word. But that's past. That's all past now.
3 Secondly, you worked with nuclear weapons, but
4 -- that --

5 **MR. KING:** Hands on.

6 **VICE ADMIRAL ZIMBLE:** -- hands on, and Dr.
7 Fleming, is -- that's -- is that not someone
8 who would be eligible for consideration from
9 the Department of Justice (DoJ) if there was a
10 presumptive -- one of the presumptive diseases?

11 **DR. FLEMING:** If that qualified under on-site
12 participant. That's the category, is on-site
13 participant. We'd have to look at the
14 legislation, but it's -- as you point out,
15 there has to be a presumptive disease, as well.
16 So I could talk to the gentleman afterwards.

17 **VICE ADMIRAL ZIMBLE:** Bottom line, if you were
18 directly involved with nuclear weapons, you
19 come under a different category. Not the
20 category where anything can be accomplished by
21 our Board, but under the law called Radiation
22 Exposure Compensation Act (RECA) -- RECA, R-E-
23 C-A, there's a potential if you have -- but you
24 have to have one of the diseases that's
25 classified as a presumptive disease.

1 **MR. KING:** I'm quite sure I do now. It's just
2 --

3 **VICE ADMIRAL ZIMBLE:** There -- there's a list
4 of the presumptive diseases. If you could talk
5 -- talk to Dr. Fleming at the -- at the next
6 break. She'll be happy to discuss that with
7 you.

8 **MR. KING:** And I figure that if -- if there --
9 if there isn't something done very soon, shoot,
10 my age is going to kill me before any of -- any
11 of the diseases will, you know, and so I
12 figured it's -- it's time to speak about it and
13 --

14 **VICE ADMIRAL ZIMBLE:** Okay. We appreciate your
15 coming and we appreciate your testimony.

16 **MR. KING:** And I appreciate your time, sirs.

17 **VICE ADMIRAL ZIMBLE:** Okay.

18 **MR. KING:** And ma'ams.

19 **DR. SWENSON:** I just want to make a comment for
20 the rest of the individuals here, as I spoke to
21 Mr. King. If you did have a cancer, you're not
22 an atomic vet so the presumptives don't apply,
23 you would still give a claim for that cancer to
24 the VA and -- and then the VA would send that
25 radiation claim still to Jackson VARO, so it

1 would be handled by the people that know about
2 radiation claims. They would request a dose
3 reconstruction from the Air Force Safety Center
4 , and we happen to have Dr. Rademacher here,
5 who I think you spoke to, who would then
6 recreate the dose that you could have gotten
7 from being around these weapons.
8 Now in this ca-- your case, it would be very
9 critical that you give as much detail as you
10 can about how much you were around the weapons,
11 what you did with the weapons, how many years
12 you worked with weapons, for him to be able to
13 reconstruct that. And that dose would go back
14 to I think the -- Jackson VARO and C&P and go
15 through Dr. Cassano for a decision that would
16 then go back to Jackson VARO for the rating.
17 So you still have somewhat the same chain that
18 would occur for an atomic vet. However the
19 presumptives don't apply. But if you had a
20 cancer they would do a dose reconstruction.
21 **MR. KING:** That's been one of the problems,
22 also. They said do you have a cancer, and I
23 said no, thank -- thank the Lord, you know.
24 I'm sure -- certainly glad I don't have cancer
25 -- I don't believe. And I've never been

1 diagnosed as having cancer, but I have a bone
2 degeneration and things like that, you know,
3 that could go with weapons or old age, you
4 know, whatever. And -- and I've also had it
5 mentioned the amount of rems that they might
6 have figured that I had taken over the -- my
7 career period before I was discharged. And let
8 me mention, it was a honorable discharge and
9 there was no problems. I was moving right
10 along in the field, but I don't know why they
11 didn't want you around weapons anymore when --
12 when you -- the weapon is what hurt you. And I
13 just don't understand. I've fought it for so
14 long, and now we have a whole Board that takes
15 care of guys that's been, you know, forgot
16 about for so long that it's just amazing, and
17 I'm so glad. And I hope -- but I heard it
18 mentioned that there's only 60 people left.

19 **VICE ADMIRAL ZIMBLE:** No, no, no. No, no, no,
20 there's over 200,000 people.

21 **MR. KING:** Oh, okay. Geez, okay. Excuse me
22 then. But I thank you and then I'll -- I'll do
23 what I can, but our VA just doesn't get things
24 done. They've lost all my paperwork and
25 everything two or three times. Everything's

1 supposed to be -- you know, well, where's your
2 -- where's your application for this? Well, my
3 God, here it is, I've turned it in no -- how --
4 well, we don't have any record of it. Well, we
5 don't have any record of it. I've heard that
6 three, four, maybe six times.

7 **VICE ADMIRAL ZIMBLE:** Okay, I'm sorry.

8 **MR. KING:** And everything gets lost.

9 **VICE ADMIRAL ZIMBLE:** Okay.

10 **MR. KING:** Intentionally, I think.

11 **VICE ADMIRAL ZIMBLE:** I think maybe some of
12 that'll get corrected when we finally get to
13 the electronic patient record, one of these
14 days.

15 **MR. KING:** Thank you so much.

16 **VICE ADMIRAL ZIMBLE:** Mr. Ritter.

17 **MR. RITTER:** I just wanted to -- I just wanted
18 to clarify for the sake of atomic veterans who
19 are here, you know, we've thrown around some --
20 some phrases that they may not be familiar
21 with. When he mentioned tritium in those
22 devices, that was a point in the development
23 history when tritium, with a mixture of
24 deuterium, RTD gas, was used in high pressure
25 cylinders and those devices as a boosting agent

1 to give the smaller weapon more kick, and
2 that's just -- and -- and I can see where if
3 it's encased in a 3,000 pound cylinder where
4 they would be prone to some leaks once in a
5 while. And so if you'll talk to a
6 representative here, perhaps they might be able
7 to steer you in the right direction for filing
8 a claim, maybe under occupational.

9 **MR. KING:** Thanks.

10 **VICE ADMIRAL ZIMBLE:** All right. Okay, we have
11 one other speaker wanted to speak now, Mr.
12 Noel?

13 **MR. NOEL:** Yes.

14 **VICE ADMIRAL ZIMBLE:** Paul Noel.

15 **MR. NOEL:** I'd like to sit.

16 **UNIDENTIFIED:** Okay, I'll get you a chair.

17 **MR. NOEL:** My name is Paul I. Noel. I live up
18 in Coalport, Pennsylvania. I was in the
19 military for 23 years. I am a -- considered a
20 -- a person who would be eligible for CS -- CR--
21 - CRA and the new payments that was just
22 recently enacted, and I wish to read the
23 following if I can. It's not quite -- it's
24 quite lengthy, but I'll start out.

25 The first papers are for health care treatment

1 instructions. It's a living will that I made
2 out on 2 June this year, and of this fourth
3 paragraph down the last sentence says I
4 expressly prohibit the use of any device or
5 implementation that includes the use of
6 radiation. That includes X-rays, computed
7 tomography (CAT) scans, magnetic resonance
8 imaging (MRIs), anything. I only have two rems
9 of radiation to mess around with.

10 Next on the -- next on the list, I made out a
11 couple pages of -- written out a couple pages
12 I'd like -- like to read to you. Radiation
13 experience during military service. Bergstrom
14 Air Force Base, Austin, Texas, from May 1955
15 through October 1956. Experience consisted of
16 eating three meals prepared for three different
17 meals, breakfast, dinner and supper, over a
18 period of time from May 1955 to July 1956 as
19 part of the U.S. Army Food Irridation (sic)
20 Program which was conducted in the dining halls
21 located at Bergstrom Air Force Base, Texas, as
22 a tasting program of cooked irridated (sic)
23 food to be used for future combat rations to be
24 used in Korea, Vietnam and Iran. Iran -- it
25 was -- actually these were actually used, I

1 believe, in Iraq, the first Persian Gulf War,
2 and I believe that this is a possible cause of
3 the Persian Gulf War Syndrome. Since the war
4 to the -- the combat rations of the present
5 wars, Iran and -- or Iraq and Afghanistan,
6 they're probably -- they're probably using non-
7 irradiated (sic) food is now being used in the
8 present MREs because they were -- after the
9 Persian Gulf -- first Persian Gulf War they
10 were -- the MREs were changed. I was assigned
11 to the 12th Strategic Wing maintenance squadron
12 at the time -- at this time. Records of this
13 Wing is still unavailable to this day.
14 The 4080th Strategic Reconnaissance Wing
15 (1)SAC, 4080th Strategic Maintenance Squadron
16 from 24 December, 1956 through 1958, Laughlin
17 Air Force Base, Del Rio, Texas. Exposure to
18 radiation started on December 24, 1956, through
19 August 6, 1958, by working on the engines which
20 powered the U-2 aircraft and the assigned RB-
21 57C, D, E and F model aircraft. There was only
22 two E model aircraft of the RB-47 series ever
23 made, and these were made for the purpose of
24 being used at the Hardtack I tests. These --
25 which powered the U-2 aircraft and the assigned

1 models which were used to sample particulate
2 and gaseous material from the detonation of
3 atomic weapons by Russia, Communist China, and
4 other nations. Working on the first engine
5 change of a U-2 aircraft for which I was highly
6 dosed with radiation because the plane --
7 aircraft flew through the atomic cloud formed
8 by the explosion of a radioactive waste dump
9 located at Kyshtym, Chelyabinsk province and
10 Sverdlovsk province located in the Ural
11 mountains of Russia on or about 29 September
12 1957. In parentheses I put this little
13 incident down: Gary Powers lost his U-2 engine
14 by malfunction caused by high doses of
15 radiation when he inadvertently flew through
16 the -- his aircraft through the remnant of the
17 atomic cloud which formed by the atomic cloud
18 which the accident of 29 September '57 at that
19 site caused, which exists there today. Fifty-
20 two rems of radiation was released by that
21 accident.

22 Radiation Exposure During Military Service.
23 The U-2 air-- the U-2 plane that went through
24 the cloud shortly after the explosion on 29
25 September '57 was piloted by a very skilled

1 pilot whose skill as a sailplane pilot
2 sailplaned the crew -- the craft from -- to Del
3 Rio, Texas, 6,000 miles from Russia. As I was
4 assigned to the -- to this job of engine
5 change, I was privy to see the damage done to
6 the plane's engines and records. The engine
7 mo-- engine's moving parts were a heap of
8 molten metal. The engine had to be cut from
9 the engine mounts to be removed from the
10 aircraft. The records show that only 12 flying
11 hours was on the -- was assigned to this
12 engine. The container in which the remains of
13 the engine was painted with the correct yellow
14 and purple paint with the radioactive seal as
15 required. Records were stamped "Top Secret."
16 I became sterile two years after working on
17 this engine. Two days after working on this
18 engine I went on sick call as my feet started
19 to burn. This visit may have been recorded on
20 the morning report for the day. The copy of
21 the morning -- of the morning report was
22 missing from the package that -- when the VARO
23 asked for alternate records. When I became
24 sterile I was out of the Air Force and working
25 in a civilian job. I couldn't see a doctor

1 because I had no health benefits. And I could
2 tell because that -- I was sterile because my
3 semen had turned color from a bright yellow
4 white to green. It is now clear and if any is
5 noticed at all. In other words, I don't have
6 no sperm. I don't have no semen at this time.
7 The bottoms of both my feet, both soles and
8 heels, still affect my being able to walk. The
9 radiation first acted like a athlete's foot
10 infection, and then presented warts, then
11 peeling of both feet as is now burns. Warts on
12 the feet turned out to be pathologically as
13 warts on hands and arms and as a biopsy of
14 testicles and testicle organ flesh. Hair was
15 also affected by way of magnetically reso--
16 resonan-- resonance, shorting out nearby
17 nerves, sending out shock waves.

18 **VICE ADMIRAL ZIMBLE:** Mr. Noel, we're getting a
19 little -- we're getting a little pressed for
20 time.

21 **MR. NOEL:** Okay.

22 **VICE ADMIRAL ZIMBLE:** Can you --

23 **MR. NOEL:** I'm going to have -- be done here in
24 a minute.

25 **VICE ADMIRAL ZIMBLE:** Okay.

1 **MR. NOEL:** The third time I was heavily induced
2 with radiation was at the 9th Weather Wing MAC
3 57th Weather Reconnaissance Squadron, Avalon
4 RAAF -- Royal Air Force Base, Geelong,
5 Australia, May -- March 1962 through 22
6 February '64 by way of working on the RB-47 C
7 and D aircraft that collected air samples. I
8 was exposed to high doses of radiation from
9 atomic bomb testing series of Communist China
10 1963 and 1964 series. On the last atomic bomb
11 blast a C-130 E engine 4-engine plane was used
12 to sample the air cloud -- air and cloud formed
13 by the blast. I was assigned to the post-
14 flight this plane upon its return from its
15 mission. The aircraft has four propellers-
16 driven jet engines with an S-curve air -- to
17 the air inlet of the engine. The inspector had
18 to enter the S-type turns -- the inlets, to
19 check for foreign object damage to the inlet or
20 engine rotors or spacers. Upon entering I
21 found radioactive sand in three of the four jet
22 engine inlets. My head was less than two
23 inches so my eyes, nose and mouth was as near
24 as two inches from the radioactive sand. My
25 ears and head hair was also affected and as I

1 breathed, so was the air I breathed into my
2 lungs and upper respiratory system. My face
3 and body became covered with red sores. The
4 doctor sent me to Yokota Air Base in Japan. A
5 skin specialist identified the cause as
6 radioactive exposure. Upon home -- upon going
7 to home base in Australia I found the 57th
8 Weather Reconnaissance Squadron was disbanded
9 and moved to -- moved to SAC headquarters. I
10 was supposed to be on orders to return to
11 United States. I missed unit movement to Ramey
12 Air Force Base, Puerto Rico, on February '65,
13 but was sent to quarters for radioactive --
14 radiation sickness until February 22, 1965.
15 After 30 days leave I reported to Kirtland Air
16 Force Base, New Mexico. I was sick with -- I
17 was sick with radiation sickness for the whole
18 tour of the 58th Weather Reconnaissance
19 Squadron. In May of 1966, after seeing the
20 Inspector General, I was assigned to Vietnam.
21 I was assigned to -- oh. My medical records
22 turned up missing upon return to States.
23 During the first, second and third tours of
24 Vietnam I was sprayed with Agent Orange. Skin
25 diseases still plague me then and now.

1 Temperature -- at -- when temp-- and then when
2 the temperature goes over 80 degrees. Body
3 hair was electrified, leaving print of left
4 hand on body and change of color of body hair.
5 Body hair had a perfect -- nice body -- the
6 perfect hair on my thing. It was the imprint
7 of my hand on my belly, and it remains there --
8 it remains there today, but since the -- I
9 shaved off the hair, I'm not getting the shock
10 that I used to get, so...

11 **VICE ADMIRAL ZIMBLE:** And you have filed claims
12 with the --

13 **MR. NOEL:** Yes.

14 **VICE ADMIRAL ZIMBLE:** -- Veterans
15 Administration?

16 **MR. NOEL:** Thirty years ago -- it was 30 years
17 ago, and today -- or in December of 2007 I
18 received notice on December 24 that my claim
19 was terminated by COVA*, and I have not been
20 able to reopen that claim yet today, because I
21 cannot get -- as I stated, I cannot get CSRC or
22 CSRD because it is -- because this por--
23 because this portion of my military service, so
24 I'm not being able to get -- get ahold of any
25 of my pay -- real pay that I was supposed to

1 get -- I was supposed to get.

2 **VICE ADMIRAL ZIMBLE:** Okay. I'm looking --

3 **MR. NOEL:** I also -- in the future, sometime in
4 the future, I live in a place where they plan
5 on putting a 40-foot dike across my land and --
6 or acro-- and -- or where my house stands now,
7 and I am 74 years old and I don't think I'm
8 going to be able to get an-- ever -- ever get
9 another mortgage.

10 **VICE ADMIRAL ZIMBLE:** So I -- I appreciate your
11 testimony. I'm not sure I know where -- where
12 you should begin making an appeal, and I don't
13 think the -- I don't think anybo-- I don't
14 think that this Board can assist you in any
15 way, but I appreciate your testimony and you
16 certainly pointed out an experience -- you have
17 some pretty good documentation of experiences
18 that you've had in the military which -- which
19 were of a hazardous nature. We appreciate your
20 testimony and we appreciate your service.

21 **MR. NOEL:** I came here with the express purpose
22 of reading this to you --

23 **VICE ADMIRAL ZIMBLE:** Right.

24 **MR. NOEL:** -- and with the express purpose of
25 reading that -- the first sentence in my living

1 will, which prohibits the use of my ever
2 getting a good benefit -- health benefits
3 through the VA or any -- anywhere else because
4 I have only two rems of radiation -- lifetime
5 radiation dosage and I'm up -- is 105 rems of
6 radiation and so far I've already used 102 rems
7 of radiation and it scares me like hell.

8 **VICE ADMIRAL ZIMBLE:** Okay.

9 **MR. NOEL:** And that is the reason I want it for
10 that purpose, so I would like to see something
11 -- maybe one of you people know what to do; I
12 don't. Because I have tried everything in the
13 past 30 years to get this thing going. First
14 they told me it was medical-wise. Now they're
15 telling me it was legal-wise. So why -- why
16 they're -- why they keep doing this, I have yet
17 -- I wear glasses. I've been wea-- I've been
18 wearing glasses because the gl-- because the
19 radiation I received in my eyes while I was in
20 that S air -- air inlet. I can't hear for the
21 same reason. I can't breathe properly, and
22 nobody has ever checked my thyroid until my --
23 until I went to a civilian doctor the other
24 day. They found I have high overactive thyroid
25 gland, so what can I do?

1 **VICE ADMIRAL ZIMBLE:** I don't know. Thank you.
2 Thank you very much. We have your testimony.

3 **MR. NOEL:** Okay. I appreciate it very much. I
4 could leave you these -- what I read off of.

5 **VICE ADMIRAL ZIMBLE:** You can leave -- leave
6 them wi-- leave them with the -- leave them at
7 the desk, please. Okay. Thank you.

8 **MR. NOEL:** Oh, there was a few questions I
9 wanted to ask. How can radia-- how can
10 radiation disappear? I have here a copy of my
11 radiation where it says I have a lifetime of
12 105 and down at the bottom here it says 120 --
13 27 total.

14 **VICE ADMIRAL ZIMBLE:** We have -- we have people
15 with -- at this Board who are radiation health
16 physicists who are very competent to answer
17 your questions, and they'll be happy to do so.
18 Dr. Blake says he'll be happy to talk to you at
19 the next break. Okay?

20 **MR. NOEL:** Okay.

21 **VICE ADMIRAL ZIMBLE:** Okay. One more testimony
22 that I look forward to is from General --
23 General Randy Manner. And again, I appreciate
24 very much your coming and --

25 **GENERAL MANNER:** Okay. All right. Now because

1 for some reason we have this giant thing in the
2 middle of the room, I'm going to walk back and
3 forth so I can address everybody at the same
4 time. Okay? Great. Can everybody hear me?
5 Yes. I don't need this.

6 **UNIDENTIFIED:** No, no, we're recording.

7 **GENERAL MANNER:** Oh, you're recording? I guess
8 I do need this. All right. Actually I don't
9 need it; you need it.

10 Okay. All right. So first of all, let me
11 introduce myself. My name is Randy Manner. I
12 am the Acting Director of the Defense Threat
13 Reduction Agency. Now why that is important,
14 that is one-half of the organizations that
15 assesses your claims. The Veterans
16 Administration, of course, receives your claim.
17 And they're the people that, if there is found
18 to be justification, they actually pay it out.
19 The Defense Threat Reduction Agency -- my staff
20 -- is responsible for applying the science and
21 also the records that exist to determine what
22 dose might you have actually been exposed to.
23 Now I'm paraphrasing terribly, and I'm sure the
24 people behind me are going oh, my God, what did
25 he just say. But that's basically what

1 happens. Now -- so please keep in mind that
2 everything I'm about to say, I'm speaking from
3 a position of being in charge within the
4 Defense Department for assessing the dose and
5 the history, the historical record.

6 Now I want to make sure to tell all of you, I'm
7 not a scientist. I'm a soldier, and that is
8 from where I will be speaking. Behind you, you
9 have a lot of soldiers, sailors, airmen and
10 marines, and scientists, and people with
11 tremendous background and experience. You've
12 already heard that some of the things that you
13 raised questions about may or may not be within
14 the purview, by law, of this particular Board.
15 However, when I'm done here in just a couple of
16 minutes, I'm going to suggest the way ahead for
17 you that if it's not within the purview of this
18 Board.

19 Number one, I want to thank all the veterans
20 and the family members who've come here today.
21 I know that, quite frankly, especially with
22 Washington and traffic, it's hard. And if you
23 come from out of town, that's a long way and a
24 great expense. But thank you so very, very
25 much for spending the time -- your personal

1 time -- to be here.

2 I also want to thank, quite frankly, the
3 members of the Board because they're paid a lot
4 of money -- meaning zero -- to be here. These
5 are volunteers, so this is very important to
6 say that to all of you. So they do this for,
7 quite frankly, wanting to serve you. And that
8 is very, very important. They -- many of them
9 are veterans that are here. And if you read
10 their bios you can actually find out, of
11 course, which ones are there. But they all
12 share the common denominator of wanting to make
13 things better than they were -- or -- or that
14 they are.

15 I want to thank all of you as well because of
16 course I know that you could be doing a lot of
17 other things today, but you're here and I
18 deeply do appreciate it. And I didn't have a
19 chance to shake everyone's hand again at --
20 during the break, but thank you so very much
21 for serving. Let me say I hope you continue to
22 serve.

23 Okay. All right. I want to make sure also --
24 I appreciate very much the very good-natured
25 perspective that each of the veterans have had

1 in expressing what are very, very difficult
2 circumstances and very, very frustrating,
3 because I know how challenging it is to deal
4 with the government. But the fact that you're
5 persevering is -- is a great credit to you, and
6 to your service as a military airman or
7 soldier.

8 This is one of those things where it's
9 important to put the -- put everything right on
10 the table. Ever since the 1940s, '50s and the
11 very early '60s, it's been a long, long time.
12 A lot of these topics we've not been able to
13 talk about because they were literally, by law,
14 classified, and we could not discuss it.
15 That's gone now. That has been removed, so you
16 are now free to talk.

17 In addition to that, let's be blunt, there's
18 been opportunities where there was
19 misinformation. And I'm very -- I want to be
20 very clear, it's not the fact that perhaps
21 somebody was lying at the time back in the '40s
22 or '50s or '60s. Many times we didn't know --
23 and I mean we, none of us really knew what were
24 the effects, what were all the consequences of
25 the decisions that were made at that time. So

1 that also contributes to mistrust, and -- and
2 that's warranted in some -- in some cases.
3 There's also, in many areas -- while there had
4 been a lot of research, in certain types of
5 medical implications for what has happened,
6 many other areas there's not as much research.
7 So some of the things that were even mentioned
8 today, it's still the limit of science that
9 sometimes we just don't know.

10 Now that's not to say there have been many,
11 many researchers, many -- many hundreds of
12 millions of dollars of research to try to
13 figure out the causes and solutions for many of
14 the things that have happened. But still there
15 are questions we don't have the answers to.
16 Lastly of course, which is also very important,
17 is that there's a lack, in some cases, of
18 understanding and education by many people
19 because we may think that it's one thing, but
20 it really is not. It could be another --
21 another cause or another effect. And so the
22 issue of trying to continue to learn, not only
23 of course by these members that you see behind
24 you, these volunteers and these researchers and
25 soldiers and sailors and airmen, but also of

1 course by everyone here and by your
2 counterparts.
3 The one thing that is very -- I share in your
4 frustration. I am a soldier. I will be in
5 your shoes at some point, perhaps on another
6 issue since I actually am not an atomic
7 veteran. So the frustration is something where
8 I can absolutely understand because -- if you
9 remember some of our history -- here in
10 Washington back in 1932 we had a lot of
11 veterans from World War I that were trying to
12 get paid some bonuses that were -- had been
13 promised by Congress, and they weren't being
14 paid because, let's be blunt, there were other
15 things going on during the Great Depression
16 that required other monies. But the veterans
17 were being forgotten and they wanted to get
18 paid. And it was a dark side, a very dark
19 moment as a military member, that regretfully
20 President Hoover called out General MacArthur
21 with the cavalry to clear all the veterans out
22 of the low lands around Washington and to
23 remove them because they were just someone he
24 did not want to deal with.
25 That is -- that's terribly sad. Now the

1 battles that were fought then, in 1932, were
2 with -- cavalry with swords and unarmed
3 veterans. Today the challenges are being
4 fought in the courts, and that is where all of
5 you have a voice, and you are here. And these
6 people, by law, represent -- within a left and
7 right constraint of the -- the atomic veterans,
8 as defined, that we've talked about already,
9 they are a part of your voice to ensure that
10 these things are dealt with in a professional,
11 expeditious manner. That's what they're here
12 to do.

13 It was mentioned earlier, we've gone from a
14 backlog of 1,600 claims to only 60, and that is
15 a tremendous effort which these men and women
16 contributed to, and then of course through
17 respective agencies followed through to
18 actually implement them. But that doesn't
19 solve all your challenges 'cause some of you
20 don't fall within the purview of what has been
21 mentioned by this particular group.

22 Now what I'd like to do is say three closing
23 comments. One is that -- and I'll speak for
24 DTRA, which meaning Defense Threat Reduction
25 Agency. Up here we have Dr. Paul Blake and

1 also Eric Wright. They are also veterans.
2 Each of them are Navy veterans. They work for
3 me over at DTRA. I will tell you that -- and I
4 can't say the same for the Veterans
5 Administration, but I will challenge them, that
6 every one of you that, before you leave, that
7 you get a personal follow-through on your
8 specific action. You've taken the time to come
9 here today, and I would challenge you, sir, if
10 you could assist to follow through with each of
11 these handful of veterans that are here. Or
12 from the other side, meaning the science side
13 of that, some of the questions that were being
14 asked earlier, sir, about radiation. We --
15 they'll talk to you perhaps during the break or
16 during the lunchtime. So that way it'll be
17 worth your time as well. And the follow-
18 through that I'm sure the Veterans
19 Administration will do. That's number one, is
20 a personal follow-through for you.
21 The second thing is that you have a voice, as I
22 mentioned. But there are associations that are
23 composed of hundreds and thousands of voices,
24 like Mr. Ritter's organization. And I would
25 encourage you to examine those, if you are not

1 a member of one of those, that your voice,
2 combined with many more, then can be heard even
3 at a larger volume.

4 The last one, and I know this is a little bit
5 difficult, but -- put it right on the table.
6 If you are still not satisfied, then write your
7 Congressman, because that kind of a letter does
8 see the light of day and is responded to by the
9 Veterans Bureau -- Veterans Administration, or
10 by the Defense Department. So as that resort,
11 include that in your tool bag of things to try
12 to get resolution of your particular situation.

13 **MR. KING:** But he's a Republican.

14 **GENERAL MANNER:** Write to your Senator as well.
15 Okay. So again, the bottom line is thank you
16 so much for being here today and spending the
17 time with us. Thank you so very, very much for
18 your service to your country. And again, thank
19 you all for the Board members as well for what
20 you do because I know this is one more thing of
21 many, many things you could be doing today, and
22 it is really, truly wonderful that you're here.
23 And that's -- sir, I turn it back to you, sir.
24 Thank you.

25 **VICE ADMIRAL ZIMBLE:** Thank you. And I thank

1 you -- I know I represent the entire membership
2 of the Board and the staff when I thank you so
3 much for your solid support for the work we're
4 doing and for allowing us to -- well, for
5 actually responding so nicely to any advice we
6 give. So thank you very much.

UPDATE ON THE NUCLEAR TEST PERSONNEL REVIEW (NTPR)

DOSE RECONSTRUCTION PROGRAM

8 Okay. Now, Dr. Blake, if you would please make
9 your presentation.

10 **DR. BLAKE:** Yes, sir. Today I'd like to give
11 you an update on our Nuclear Test Personnel
12 Review program, and we're located over at the
13 Defense Threat Reduction Agency. Major General
14 Manner, who just spoke, is my boss. And as he
15 mentioned, I am a retired veteran from the
16 Navy, and I am the program manager for the
17 program over at DTRA and the Department of
18 Defense.

19 What I'd like to cover today is an update,
20 first on metrics, then advances on dose
21 reconstruction, quality assurance,
22 communications; and then give you an update on
23 the status of recommendations from this Board
24 that are still active; and finally just a brief
25 look at where we -- where I envision the road

1 ahead.

2 If we look at metrics on incoming data for the
3 program -- and that may be a little hard to see
4 from some distance away -- that spike that's in
5 the middle occurred back in 2003 after a
6 National Academy of Science study occurred that
7 questioned some of the dose reconstructions
8 that my agency had performed. And so the VA
9 sent back every claim that had come in before
10 that hadn't gone to service connection. So
11 basically everything came back. It peaked at
12 that period of time, and that's what created a
13 backlog in our program.

14 And at the same time, when that the National
15 Academy of Science study occurred -- and some
16 of the members of the Board were actually on
17 that -- we needed to redo our dose
18 reconstruction methodology. And that wasn't
19 straightforward. That took some time. And
20 this backlog occurred that was discussed
21 earlier, like 1,600 case, and at that time we
22 had a tendency to try to get the -- the easy
23 ones out first, but some lagged. And what was
24 frustrating to the veterans that some cases,
25 until we could get to them, waited over four

1 years.

2 The atomic veterans at that period of time had
3 the oldest cases in the Department of Veterans
4 Affairs, and it became a great concern both to
5 the Department of Defense and to the Department
6 of Veterans Affairs. And so one thing that
7 occurred after that study is Congress came in
8 and said we needed to establish a Veterans
9 Advisory Board on Dose Reconstruction to
10 satisfy that.

11 And what I'd like to show you today is, from
12 our perspective, this Board has been very
13 effective at working with our two agencies, the
14 Department of Defense, Department of Veterans
15 Affairs, and helping us out. And also I'd like
16 to possibly comment on some things that we see
17 going on in the future.

18 And here's what we talked about on that
19 backlog. You can see where it dropped off
20 quite considerably, that little yellow block
21 that says "VBDR Impact: Expedited Radiation
22 Dose Assessment Process." Radiation dose
23 assessment is also known as a radiation dose
24 reconstruction. Based on the recommendations
25 that came from the Board we were able to really

1 optimize how we process dosimetry -- excuse me,
2 how we generate radiation doses.
3 What had been the difficulty was most federal
4 agencies -- we take the Public Laws and then we
5 amplify them through the Code of Federal
6 Regulations. Changing those are difficult, and
7 what we had in place before was a very lengthy
8 and difficult process to do that was quite
9 expensive. And so the Board's recommendations,
10 based on scientific discussion, allow us --
11 allowed us to move ahead on some maximum
12 radiation doses that were good for both the
13 Department of Veterans Affairs, the Department
14 of Defense, but most importantly for the
15 veterans. It actually increased service
16 connection.
17 And so where we sit today, based on those
18 recommendations, is our mean case response time
19 over in the Department of Defense, when items
20 come in, is it takes us about 52 days to get a
21 result out the door. Some go faster, some go
22 longer. In fact, the longest case we've had
23 since -- in 2009 is 177 days, but in fact --
24 for instance, this week we don't have any cases
25 that have been greater than 100 days. We get

1 them out.

2 And why does it even take that long a period of

3 time? And the reason it does is because we

4 often have interactions that go back and forth

5 with the veterans where we're trying to get the

6 veteran's opinion on what's going on. We'll

7 take information that is maintained, for

8 instance, at the National Personal Records

9 Center out in St. Louis where archived military

10 records go. We'll prepare that with our

11 historian staff, but then we'll send it out to

12 the veterans to comment before we proceed

13 'cause we want to make sure we really

14 understand the scenario of radiation exposure.

15 And that period of going back and forth while

16 we're getting information from the veteran,

17 asking for them to sign off with what we've

18 done or Privacy Act release statements, takes

19 that period of time to finish. But based on

20 that, we still have optimized our processes.

21 And one way we've done that is by working

22 through the two agencies, working close -- more

23 closely together.

24 Another part that we do, and I would hope that

25 you would consider using that, is we have a

1 toll-free line with some very good people at
2 our agency that respond. And if you actually
3 call in for advice, if you don't get it from us
4 today, our people are available to discuss
5 that. We do a lot of follow-up phone calls. I
6 mention just in 2009, for about the first three
7 months, we had about 230 we did outreach phone
8 calls. But when you look over the lifetime of
9 the program, it's tens of thousands of phone
10 calls we've given out to assist our veteran
11 community.

12 Where have we gone on dose reconstructions
13 advances? With the help of the Board we've
14 moved ahead on our published procedures. For
15 instance, in just recent years we've created a
16 NTPR Radiation Dose Assessment(RDA) standard
17 operating procedures (SOP) that numbers over
18 1,400 pages. It goes into great detail. Right
19 now it's still for official use only, but by
20 the end of this year we hope to publish it on
21 our web site. We have a lot of the
22 documentation for how we actually do things on
23 our web site, and it's certainly my goal as the
24 program manager to have that out by the end of
25 the year. The reason that we didn't publish it

1 initially was it was still, with advice from
2 the Veterans Advisory Board, going through some
3 changes. But based on a lot of peer review
4 from other scientists commenting on what we're
5 doing, we feel that it should be ready to be
6 out there by the end of the year. That's the
7 basis for how we do dose assessments for our
8 veteran community.

9 Another report we published to increase the --
10 the science of dosimetry was done through the
11 National Council on Radiation Protection and
12 Measurements. It was known as NCRP-158,
13 "Uncertainties in the Measurement of Dosimetry
14 of External Radiation". In fact, another Board
15 member, Mr. Beck, was the Chair of that
16 particular publication. We have a lot of
17 expertise on our Board to give advice to the
18 Department of Defense and Department of
19 Veterans Affairs.

20 We're in the process of many Technical Basis
21 Documents being developed and nearing
22 completion -- publication. Once again, those
23 are the basis -- the foundation of what the
24 Standard Operating Procedures are then based on
25 to give out the dose assessments. They will

1 also be published and put on our web site, too,
2 for people to go through them. Some of them
3 get kind of complicated, admittedly, and they
4 may be more focused on the health physicists
5 and the people that do this type of work, but
6 we try to put out fact sheets and other things
7 that boil it down and make it simpler and be
8 able to communicate this information to people
9 who are using it.

10 We also have an extensive software products on
11 how we track every single interaction with a
12 veteran, and one of the things we're discussing
13 just yesterday was how could we improve that
14 software -- we call it NuTRIS -- on continuing
15 to document our quality improvements. And one
16 of the items that was brought up was -- and
17 it'll be discussed later on today through some
18 of our subcommittees -- on how can we actually
19 fold in those quality metrics into our ongoing
20 software. Because even though scientists need
21 to analyze the data, the more that we can
22 automate the process, capture it digitally and
23 basically output it on a weekly basis,
24 facilitates a better product. And I promise to
25 go back in three weeks and come out with some

1 feedback for our quality group on how we're
2 going to improve our software to actually prove
3 the quality metrics that are done through
4 NuTRIS.

5 On QA advances, quarterly -- we have a
6 recommendation from the Board that we accepted
7 in the Department of Defense -- that we provide
8 them information to take a look at how we're
9 doing. And what that includes is the program
10 history, our projected advances, metrics,
11 ongoing peer review, and updated Standard
12 Operating Procedures, double-blind radiation
13 analysis, and lessons learned. And when you do
14 these radiation dose assessments, they're very
15 complicated, and so how do we say that they're
16 actually done correctly? One way we do it is
17 we basically take three competent health
18 physicists and the three of them independently
19 do a dose reconstruction following procedures.
20 And since there's some judgment calls and other
21 issues in there, at the end of that we then
22 look at those three independent assessments --
23 and some people have described them as the
24 equivalent of a master's thesis when you go
25 through that, on some of these complicated

1 cases when you look at how much it actually
2 costs, it can actually cost on the order of
3 \$12,000 to \$16,000 to do one. That's how come
4 we tried to simplify the process, too. But
5 when you're writing page after page of
6 integrals and mathematical sig-- signatures,
7 looking at different radionuclides with various
8 half-lives decaying at different periods of
9 time, it does get complicated trying -- and
10 also trying to take into account the veteran's
11 input. But through these double-blind
12 radiation dose analysis, we've been successful
13 as we've continued to do this to improve the
14 consistency of what three independent health
15 physicists actually put out so they have
16 consistent results. And that gives us a
17 feeling of confidence that when we then deliver
18 a radiation dose assessment to the atomic
19 veteran community that it -- it's accurate and
20 it's credible, it's believable, too. And I
21 think that's one of the great advantages of
22 having the Board active with us on reviewing,
23 as an independent group, on what we've been
24 doing.

25 Another place where our focus has been has been

1 on these digital (sic) summary -- summary
2 sheets. One of the comments that came out of
3 our quality assurance group was what were the
4 key decisions in when we did a radiation dose
5 assessment, and now part of the procedure
6 that's done through the Department of Defense -
7 - in fact, Commander Sanders, who's one of the
8 active duty people here, Commander Sanders is
9 the Deputy for the NTPR program, on a weekly
10 basis is going through and reviewing dose
11 reconstructions and filling out these decision
12 summary sheets on the key points. So if you
13 asked, and the information is available when we
14 provide it to you, we can then say here are the
15 key decisions that were made in assigning you
16 this particular dose. It's another quality
17 improvement that was -- been recommended by the
18 Board.

19 And finally, another focus has also been on
20 this concept that was recommended by the Board,
21 developing the technical basis more formally
22 for our expedited radiation dose assessments.
23 I was talking about the double-blind inter--
24 radiation dose assessment intercomparisons.
25 We've completed five of them through March of

1 2009, and we're starting our sixth one here
2 shortly. Continual improvement has been noted,
3 and the -- the nice thing about doing these are
4 the feedback we get from the radiation dose
5 assessments immediately go into improving our
6 Standard Operating Procedures. As we -- as you
7 find difficulties, you go this is where I need
8 to tweak something, we can make a better
9 product, and then we follow it very religiously
10 on how we're going to do these particular
11 procedures in the future.

12 They're performed, as I mentioned, by three
13 separate health physicists, and they're also
14 reviewed by this Advisory Board.

15 Here's a schematic I laid out to try to show
16 our processes, and let me -- since it may be a
17 little difficult to see with the size of the
18 screens here, let me read it off to you, if I
19 may. There are four methods of how information
20 comes into our program. One is through a
21 virtual private network. That's a computer
22 system that completely -- that links in the
23 Department of Defense and Department of
24 Veterans Affairs so we can send encrypted
25 information back and forth. How we used to do

1 this previously is we used to send lots of
2 letters back and forth through the U.S. Post
3 Office between the Department of Veterans
4 Affairs and Department of Defense. And one of
5 the disadvantages of that -- I mean the Postal
6 Service works great, but you take about a week
7 on either side. When we're trying to optimize
8 our processes, by going to a virtual private
9 network we basically eliminate all that transit
10 time. So by putting this virtual private
11 network in place we cut down two weeks on the
12 time that we can get an answer back to the
13 veteran.

14 Another way that all that comes in is we still
15 have to, with our veteran community -- does not
16 use the internet and e-mail as much, and so one
17 way that we still have to communicate a lot is
18 by mail, but not so much by the agencies as
19 back and forth to the veterans that goes back
20 and forth.

21 Another way that comes in is by our toll-free
22 line. If a veteran doesn't want to go through
23 the mail, we're certainly happy to talk
24 through, and then we call back to try to deal
25 with the veteran to make -- help our processes

1 there, and that's -- I think -- the comments
2 that I get back from a lot of the veterans
3 community on the people that have been doing
4 this for many years with the Department of
5 Defense is they're very pleased. I think we
6 have some very good people that help us on our
7 toll-free lines.

8 And finally, on the -- we also have a generic
9 e-mail address if you want to mail us. It's
10 ntpr@dtra.mil, and we get a few e-mail
11 inquiries that way also.

12 When we take all that input we initially
13 process it, and based on that we may have to go
14 out -- back to the veterans to ask for a
15 questionnaire to get some more information, and
16 we also sometimes have to get a privacy
17 release, and that is when we're dealing with
18 your private information -- whether it's
19 medical information or Social Security
20 information -- if we're going to release it to
21 someone else, we need to get your permission
22 first. And so there's a form that we'll send
23 to you if we don't already have it from the
24 Department of Veterans Affairs.

25 The next step is, what we do by law is we

1 verify whether you're an atomic veteran or not,
2 and we've talked about some of those principles
3 that are laid down in Public Law, they're
4 amplified in the Code of Federal Regulations,
5 but our historian staff has been doing this for
6 years, they're very experienced, and we keep a
7 large database so hopefully we can do that very
8 quickly. But some of the most challenging
9 cases come in to our agency or some of the
10 veterans -- it was like 30 or 40 or 50 years
11 ago, their memories are getting a little rusty,
12 and trying to find the records -- the military
13 kept very good records back then and we have
14 access to most of them, but there still can be
15 some questions on what that happens. And so
16 what we do is we give our best answer to the
17 Department of Veterans Affairs if we can't
18 prove that you're an atomic veteran, and then
19 they can finally make a decision over there if
20 there's some missing information. And one
21 thing that comes up is where the military
22 retired their records to, was the National
23 Personal Records Center in St. Louis that you
24 may be familiar with. There was a fire there
25 some years ago and some of the Army records

1 were burned, and so some of the records we
2 actually get have little frayed edges around
3 them where the fire was. So -- and -- and we
4 bo-- I think we all know when we got out of the
5 service, not all our records, as we've
6 commented before, made it to St. Louis. So
7 once again we need to take that into account
8 and give the benefit of the doubt to the
9 veterans if there's any question on do we
10 actually have the records. But I just look --
11 think of my time in the service, and I retired
12 about five years ago, and I look back at the
13 records like the '40s, the '50s and the '60s,
14 the records are actually kept immac-- very well
15 back then. It -- it's amazing. Nowadays it's
16 much more computers and so forth, but they way
17 they documented morning reports or sick call
18 records and so forth, it really is an excellent
19 system when we do have to try to do
20 verification.

21 Based on verification, if we verify you're an
22 atomic veteran, we may -- who we'd present that
23 information to has been presented earlier. One
24 we -- one group we verify to would be to the
25 Veterans Benefit Administration for

1 compensation purposes. Another group that we
2 provide verification to is the Veterans Health
3 Administration (VBA) that Dr. Cassano was
4 talking about for the Ionizing Radiation
5 Registry. Another group that we provide
6 verification for is the Department of Justice.
7 For our military veterans, they can file for
8 two methods of compensation typically. One is
9 the Department of Veterans Affairs, which many
10 of them file for. Another group, though, that
11 the Admiral mentioned was the Radiation
12 Exposure Compensation Act that's administered
13 by the Department of Justice. They can file
14 over there. Once again, we'll support that
15 verification.

16 And then some of the veterans came up to me
17 today and asked can I just get a verification
18 just from a personal basis, even if I'm not
19 verified. That's certainly part of the program
20 that we do. So if you want to call us or write
21 us or e-mail us, whatever, we're happy to pull
22 up records and try to get the background for
23 you. In fact, we have two people just working
24 out at the National Personal Records Center at
25 St. Louis just pulling records for the

1 Department of Defense so we can support atomic
2 veterans.

3 After the SPARE is done we then will prepare
4 our radiation dose assessment. Once again,
5 that can be -- if -- when we prepare all this -
6 - every time we communicate with the Department
7 of Veterans Affairs or Department of Justice,
8 we always cc the veteran, so hopefully you can
9 follow exactly what we're doing. And what's
10 also in there at the very bottom, though, is
11 what I call external QA -- the Board may refer
12 to it as internal QA, but a very important
13 part, and a part that's grown over time,
14 especially based on the Board's
15 recommendations, are how do we check the
16 results that we're doing by our own group? And
17 so typically our team that works for the
18 Nuclear Test Personnel Review program is made
19 up of both government and contract people. And
20 some of our contract scientists will prepare
21 this type of work, and then another group will
22 actually check on them. And then the
23 government's also checking, too, but I think
24 what works best is this two or three different
25 groups, each checking each other before we get

1 the final result out to you. And a lot of
2 that's been based with feedback from the
3 Veterans Advisory Board.

4 I think that's probably enough detail on that
5 slide.

6 Decision Summary Sheets (DSSs), another input
7 from the Board. We implemented them in March
8 of 2008. Part of it includes background
9 information, a synopsis of the veteran's case,
10 and then also the major decisions made to
11 develop that radiation dose assessment, along
12 with a rationale, assumptions and references.
13 We very much like to cite our Standard
14 Operating Procedures for why we made a decision
15 this particular way, and I believe that's
16 important documentation as we go through the
17 case development.

18 What we try to do, as I mentioned before also,
19 was that we try to automate that product as
20 much as we can. And so that's a continuing
21 evolution and one of the strengths of our
22 program is actually our information technology
23 group, that as we give input to it and say how
24 can we do it better, we update the software
25 that actually outputs the product on a weekly

1 basis.

2 The present focus right now, based on how we've

3 evolved, is on expedited or Hiroshima/Nagasaki

4 radiation dose assessment Decision Summary

5 Sheets that we've been presenting to the Board.

6 And here are some statistics, and I'll show a

7 little bit later, over the period of January

8 2006 through December 2008, if you count those

9 up, how many dose assessments do we actually

10 perform? About 1,800 were expedited, 556 full

11 length calculations that were quite expensive,

12 and about 173 -- well, that statistic is a

13 little off since we didn't start tracking it

14 till May of 2007, before that they were

15 included in the full category. So what you see

16 when you add that up is roughly -- maybe about

17 2,500, divide that in a third and you go geez,

18 that's quite a few dose assessments, though

19 that included a backlog. Nowadays when we look

20 at the statistics of what we're actually doing

21 for dose assessments for atomic veteran

22 community, we're down to about 400 a year. So

23 it -- that's only part of what we do besides

24 the verification process. But as I look at the

25 statistics I see things starting to slow down a

1 little bit now, both on input from VA and
2 Department of Justice, and that may be due to
3 our aging population.

4 But the -- I would like to just comment on the
5 -- and I believe the Board's heard this before,
6 but the impact of your recommendations to us.
7 The backlog was eliminated based on the
8 recommendations that you gave and we accepted.
9 It increased the favorable VA medical opinions
10 for atomic veterans. Before we did expedited
11 doses it was about a 9 percent rate on medical
12 opinions. It's now up to 29 percent, and
13 that's a significant increase. Admittedly,
14 many of them are due to skin cancers and a type
15 of cataracts and so forth, but it is still a
16 significant increase based on the Board's
17 recommendations.

18 We also improved the case processing time. And
19 another point that's probably more of a focus
20 to me than anyone else, but we also saved about
21 \$20 million by going through an expedited
22 process instead of the lengthy process, too.
23 And so when we look at how much it costs us to
24 run the Board as compared to what we're doing
25 here, this has been a very cost effective, but

1 even more important, a help to the veterans and
2 the agencies in doing business supporting our
3 atomic veterans.

4 With regard to QA, one metric we track is the
5 external review box, that yellow box I showed
6 you before in that flow chart that was done by
7 a group known as Oak Ridge Associated
8 Universities. When they review it, the cases,
9 they find some technical problems, some
10 editorial problems, and the majority they
11 approve and then they get signed out and
12 released by the agency. Editorial comments may
13 be -- for instance, there may be a missing
14 signature or -- or something done in--
15 incorrectly. Technical could be a few things,
16 but you see it's about two percent as compared
17 to three percent. Technical could be -- they
18 might have a recommendation that, for instance,
19 we should not be expediting this one; it should
20 be a full radiation dose assessment. That
21 would be sent back, we'd re-look at that, have
22 a consensus decision, and then move on to
23 perhaps a different method of action. And
24 that's part of the external review. And when
25 you look at an external review, if you have

1 about five percent of your cases coming back
2 for one reason or another, that says probably -
3 - you pretty much right now have a -- a fairly
4 steady state condition. The checkers are
5 finding a few things, they're not finding a lot
6 of things. And I think one thing that we're
7 trying to look at from a quality viewpoint is
8 how do we, for instance, track all those --
9 those concepts and how do we use them to
10 improve our program. And I've also promised to
11 come back in a few weeks to the Board on some
12 recommendations on how we can improve our
13 quality processes because we've gone through a
14 big phase where we've spent a lot of time on
15 science and optimized our business practices.
16 But how do we ensure that we continue to give
17 this -- at least for the next ten years for our
18 atomic veterans -- that that -- these optimized
19 practices stay in place and that we know the
20 quality of them -- that they're credible, and
21 that's where the Board has evolved to where
22 they're focused on looking at the agencies.
23 And I can just speak for the Department of
24 Defense on how we're trying to improve our
25 quality practices in -- in -- based on the

1 recommendations.

2 Communication advances. We're working with the

3 Department of Veterans Affairs in trying to get

4 an outreach to the veterans. One outreach

5 occurred just -- this meeting where everybody

6 was contacted by -- in the Ionizing Radiation

7 Registry and was notified, and that number of

8 veterans in the Ionizing Radiation Registry

9 that's supported by Veterans Health

10 Administration (VHA) sits around 23,000 and

11 24,000. In fact, postcards were mailed out.

12 But another place where SC-4, our

13 communications group, has asked the two

14 agencies to work together is let's get

15 communications out to the veterans who probably

16 received the highest radiation exposures.

17 And since we maintain that data within the

18 Department of Defense, one thing that we could

19 do was provide that information to the

20 Department of Veterans Affairs and then they

21 could focus on the veterans that have the

22 highest chance of developing disease based on

23 radiation dose. And so a number of months ago

24 we performed that analysis, provided the

25 information to Department of Veterans Affairs.

1 We also did a demographic analysis when we
2 looked at that population.
3 One thing that we have in our database is we
4 have very good -- good listings of obviously
5 who is a atomic veteran, but also their date of
6 birth. So for us to calculate a mean age of
7 the atomic veteran population is fairly
8 straightforward. What's much more difficult
9 for us to do is to say how many surviving vets
10 are actually there since we don't track
11 actually when a veteran passes on, just
12 indirectly. So what I then take a look at to
13 try to understand the program metrics is the
14 Social Security Administration does these life
15 tables, and based on the average age of our
16 veterans of a population of roughly 480,000,
17 about 39 percent would still be surviving as of
18 today. And that comes down to, of our original
19 cohort, about 190,000 atomic veterans are still
20 with us. And by definition, the atomic
21 veteran, for instance -- the cutoff date was
22 through 1962. If we assume that was roughly --
23 at that age your 18 years old, you can see that
24 even the youngest ones are still -- like in
25 their 70s, and most of our veterans who are

1 still surviving are even older than that.
2 So it is an aging population. It's a
3 population that we're trying to do our best for
4 before they pass on. But even after they pass
5 on, we are still supporting their dependents.
6 We'll frequently ha-- well, I -- fairly
7 frequently have claims come in, for instance,
8 from a widow -- even if her husband's passed on
9 ten years ago -- that we're still doing a claim
10 for.
11 I'd like to -- before I give where we're moving
12 ahead in the future I'd like to give you a
13 summary of our status on recommendations given
14 to us by the Board, to the Defense Threat
15 Reduction Agency. We still have four out of
16 the 18 remaining open.
17 The first one is the double-blind RDA effort
18 that I mentioned earlier today, and that one is
19 going to continue going until the end of the
20 program. It's good idea. It's a good check on
21 us. It's good quality assurance. And it's
22 very similar to what actually happens in the
23 practice of medicine and other fields where you
24 have independent people doing the same
25 procedure to make sure that it's done right.

1 Another one that's -- will continue for the
2 lifetime of the Board is our quarterly QA
3 metrics submission to the VBDR. We're taking
4 suggestions on how to improve that, but we'll
5 continue to provide quarterly information to
6 the Board so they can take a look at what we're
7 doing, so they don't just get information when
8 we meet here. Quarterly they're actually
9 reviewing our procedures.

10 Two recommendations, though, I hope to have
11 shut down by -- closed, appropriately, by the
12 end of this year. One is a recommendation that
13 the radiation dose assessment Standard
14 Operating Procedure includes appropriate
15 treatment of upper bounds. These are the error
16 rates with -- when we do dose assessments,
17 there's -- 'cause there's always uncertainty,
18 whether it's based on scenarios and other
19 information, how do we assign those. That's --
20 that can be very, very difficult. Our
21 scientists have been working on Monte Carlo
22 calculations that take hours to run based on
23 what we call probabilistic uncertainty. I
24 think we've made some excellent progress and
25 the Board has given us good feedback on where

1 we are. We hope to have that published as a
2 Technical Basis Document (TBD), folded into our
3 Standard Operating Procedures, and have then
4 published by the end of the year.
5 And finally, the last recommendation was
6 somewhat similar. We've been using default
7 upper bound factors because it takes so long to
8 do these probabilistic uncertainties. We've
9 reached a point, though, where we can move away
10 from that and do full probabilistic
11 uncertainty, and we hope to have that in place
12 by the end of this calendar year also.
13 So that's the status on the recommendations
14 that the Board has given us to date.
15 And in closing I'd like to discuss my thoughts
16 on the road ahead for the NTPR program at DTRA.
17 One is to publish our Standard Operating
18 Procedures and have them on the web site by the
19 end of the year. If it slips by a month or
20 two, I hope people understand. We are trying
21 to do the science right before we do, but our
22 goal is certainly to have them out by December
23 31st, 2009, and complete those two
24 recommendations.
25 That leads us then to changing our Code of

1 Federal Regulations that we have to go through
2 the *Federal Register* on, based on that, and we
3 hope to have that done by the end of December,
4 2010.

5 So with that, I thank you for your indulgence
6 and -- do you have any questions?

7 **VICE ADMIRAL ZIMBLE:** Thank you very much,
8 Paul, appreciate the update, very, very
9 helpful, and delighted to see that you're
10 moving on with -- with the QA initiatives in
11 terms of -- in terms of working with metrics.
12 I think that as the quarterly quality reports
13 accumulate that we -- we need very much to look
14 at those to see whether or not the trends are -
15 - are going in the direction of -- of
16 expediting the processes and of -- of getting
17 the claims completed even faster. And I find
18 that the -- my feeling is that it's decision
19 support information, the information where a
20 judgment call is made or an assumption is made,
21 that that's specifically documented in the
22 decision summary, that that becomes essential
23 to get folded into the quarterly report so that
24 we can look to areas where corrective action is
25 indicated and that corrective action is taken.

1 Again, all to assess a quality process.
2 So I compliment you for -- for your willingness
3 to take on the -- becoming -- your willingness
4 to become an honorary member of Dr.
5 Reimann's... That really is essential.
6 I also compliment you on the initiative you've
7 taken in -- in allowing the VPN to be utilized
8 -- that virtual network, to be utilized between
9 DTRA, NTPR, and the Jackson VARO. There's no
10 question that -- I have heard, and others have
11 heard, that the Jackson VARO people are
12 delighted with the ability to communicate and
13 get responses so quickly, that that is going to
14 truly expedite the process. And so that's
15 relatively new, and I'm delighted that you took
16 that initiative. That's very, very, very
17 helpful.

18 **DR. BLAKE:** Well, I would point out that came
19 out I think from -- if not the last meeting,
20 the meeting before that, we had discussions and
21 Brad's predecessor, Tom Pamperin, was the one
22 that agreed to it and, based on that, we took
23 the action. So once again, the Board
24 facilitated that improvement be bringing the
25 two agencies together and working together

1 well.

2 **VICE ADMIRAL ZIMBLE:** Okay. Well, you guys are
3 -- you're doing the handoff as a team
4 beautifully.

5 **DR. BLAKE:** Thank you, sir.

6 **VICE ADMIRAL ZIMBLE:** Okay. Any other
7 comments? There we are -- okay, Dr. Boice.

8 **DR. BOICE:** Paul, I appreciated also the clear
9 explanation, and then again the statistics on
10 the existing veterans' population. And I think
11 that's very -- reinforces what we've been
12 saying a lot today is about the aging
13 population. If the average age is 82, that
14 means there's a substantial number of veterans
15 who are in their late 80s and 90s, so it
16 behooves us to continue to work diligently
17 because time's running out. And I just wanted
18 to reinforce that and appreciate your -- your
19 clear presentation.

20 I did have a question, though, about the
21 estimate for the numbers alive, because you
22 used the Social Security tables.

23 **DR. BLAKE:** Okay.

24 **DR. BOICE:** Now as we know, or as we used to
25 know, there's selection processes that go into

1 being a military guy or gal. And you -- it's -
2 - we call it the healthy worker effect or the
3 healthy warrior effect. And this actually
4 lasts almost throughout life. Not so much for
5 cancer; it kind of becomes -- but it would seem
6 that if there was this -- taking into account
7 that veterans -- to get into the military you
8 have to pass psychiatric and physical exams so
9 that you were not like the general population
10 of Social Security. So I would think that
11 there may be more -- or if you had thought
12 about that, or even considered that in the
13 estimate.

14 **DR. BLAKE:** I -- for the people who are not
15 familiar, Dr. Boice is one of the leading
16 radioepidemiologists in the world and deals
17 with this type of matter, and I may defer to
18 him on that one. But the -- I -- yes, I'm
19 aware of the healthy worker effect, and one
20 reason I didn't put plus or minus associated
21 with that 40 percent was because I realize --
22 there was a good -- it is a kind of a crude
23 assumption, but it was based -- best that I had
24 to work with. You're probably right; it
25 probably is a little higher than 40 percent.

1 My own gut feel, though, is it's probably on
2 the order of what, maybe five or ten percent,
3 at maximum, so I was trying to give you a ball
4 park figure for the percent surviving of our
5 atomic veterans, and I -- if any -- and it may
6 be a little higher.

7 **VICE ADMIRAL ZIMBLE:** There's no question that
8 we have to take into account the factor that
9 the DoD is the -- the primary -- is the best of
10 all cherry-pickers that we have in the world.
11 Any other question?

12 All right. Thank you very much.

13 **DR. BLAKE:** Thank you, sir.

14 **VICE ADMIRAL ZIMBLE:** Thank you very much.
15 We're running a little bit behind. Dr. -- Mr.
16 Groves has asked that he follow the
17 presentation from our representative from the
18 VA. I'm going to suggest that we get the
19 presentation from the Veterans Administration
20 from Mr. Flohr, after which you -- maybe you
21 can include your -- we'll give you a double
22 feature presentation under your chairmanship.

23 **MR. GROVES:** Absolutely. That's the note I
24 just sent to you.

25 **VICE ADMIRAL ZIMBLE:** Oh, you've got a note

1 here?

2 **MR. GROVES:** Yeah.

3 **VICE ADMIRAL ZIMBLE:** See, I can -- I just put
4 it like this.

5 Okay, Mr. Flohr, you have the floor.

UPDATE ON THE VA COMPENSATION

6 **AND PENSION SERVICE PROGRAMS FOR VETERANS & VETNET**

7 **MR. FLOHR:** Thank you, Admiral Zimble. And
8 thank you, Board members, and thank all of you
9 who are here. My name is Brad Flohr. I'm the
10 Assistant Director for Policy in the
11 Compensation and Pension Service (C&P) in the
12 Department of Veterans Affairs. I have been
13 with VA, come next month, 34 years, all of that
14 spent in processing claims. I was ten years on
15 the rating board, that -- that group of
16 individuals who actually makes the decision on
17 the claim that is filed. The last 19 years
18 I've been in the Compensation and Pension
19 Service I worked closely with DTRA because my
20 staff that I was on and my staff that I
21 supervise now is involved in the radiation
22 claims process, and I worked with -- as I said,
23 with Paul and with his predecessor, Mike
24 Schaeffer, who is in the audience today
25 although he stepped out.

1 When the Advisory Board was created, some of
2 you may not know, but I was at NCRP
3 headquarters with Dr. Tenforde and Paul Blake
4 and Mike Schaeffer, and -- and I gave a
5 presentation to this group on the radiation
6 claims process. And because of my background
7 in radiation, then Admiral Cooper, who was the
8 Under Secretary for Benefits, was going to
9 appoint me as the VA representative to this
10 Board. And I thanked him very much for that
11 and declined, because I told him that I had
12 decided to retire, so Tom Pamperin became the -
13 - the member of this -- this Board, and I
14 didn't retire.

15 So now it appears Tom Pamperin, who is my
16 supervisor still, he was promoted last year
17 and, while I have a number of things that I'm
18 involved in, he has about triple that. And he
19 had intended probably, I believe, to turn this
20 Board back to me as part of my
21 responsibilities, and I look forward to doing
22 that and -- and working with you again, and
23 continuing the work that Tom did with the group
24 and giving you the best service that I can as
25 we move forward.

1 With that, I'd like to apologize for coming
2 lately to this. I don't have all the
3 information perhaps that you had requested from
4 Tom because I have not been able to talk with
5 Tom to see what actually has happened, but I
6 promise you that what you have requested in
7 terms of any data or -- or answers, I will get
8 that for you and be sure you get it in the
9 very, very near future.

10 I wanted to just go over these recommendations
11 very briefly. Again, I don't know all that
12 much about them, having come lately to this.
13 But I do know that the one that is -- was not
14 accepted in terms of claims was to grant
15 service connection retroactive to an initial
16 claim, and that's -- under our current laws and
17 regulations, is not really possible in all
18 cases. Some cases yes; others, not.

19 Quality management, we did a quality review of
20 the cases being done in Jackson VARO -- of the
21 radiation exposure claims -- and as far as
22 providing claims outcomes, I believe you're
23 aware that privacy protections prohibit us from
24 providing you with names and claim numbers and
25 Social Security numbers, things like that.

1 We'll be very happy -- the outreach letters
2 that Dr. Blake mentioned, those have been sent
3 to our mailing facility in Hines, Illinois.
4 They are there. I expect them to be mailed out
5 to the -- some close to 700 veterans who
6 recorded five rems or more, within the next few
7 days. If not this week, early next week those
8 will be mailed.

9 In terms of other types of letters, we talked
10 yesterday with Dr. Swenson in SC-2 about things
11 we could provide in terms of letters, and I
12 would just say to Dr. Swenson that you should
13 have been talking to my wife yesterday rather
14 than me because she knows a lot more about the
15 actual procedures in the Regional Office than I
16 do, having been away from it for about 19
17 years. And in fact, my wife was at the meeting
18 you had in Baltimore in March, maybe, and she
19 said why didn't they ask me those question? I
20 said well, I don't know. But in fact, the
21 notice letters are not generated by some place
22 out in the -- in a void somewhere. They are
23 mailed from a Regional Office. We can put a
24 brochure in those notice letters, or
25 notification letters. And we'll be certainly

1 happy to investigate doing that. I mean it is
2 feasible.

3 We can also drop a paragraph about RECA and
4 contacting Department of Justice in some case.
5 We can put information about IRR, whatever is
6 needed, we can craft language that would go
7 into certain letters. So, contrary to what I
8 said, we can do some of those things.

9 **VICE ADMIRAL ZIMBLE:** Excuse me, Brad, if I
10 could just interrupt for one second -- for the
11 information of the veterans present, the
12 difference between a VA review of compensation
13 for a specific illness and -- and RECA, the
14 Department of Justice, looking at compensation
15 is -- is, I think in some cases, very, very
16 important. The Veterans Administration
17 provides compensation for a disability
18 resulting from a condition which is ascribed to
19 a cause of ionizing radiation. They will
20 compensate for the disability.

21 On the other hand, RECA, Department of Justice,
22 will compensate for having the disease, period.
23 And they compensate with a lump sum, and the
24 lump sum is \$75,000. But it has to be for one
25 of the conditions which is presumed to be

1 caused by radiation. Okay? As documented in
2 the service. So just coming in and saying I've
3 been irradiated, I don't know how much, I have
4 -- my hip bone hurts and I have a heart problem
5 isn't going to suffice. It's got to be very
6 specific, a condition which has been cited in
7 law as one resulting from ionizing ra-- or
8 presumed to be resulting from ionizing
9 radiation. That's all they have to go with, no
10 dose assessments.

11 On the other hand, with the VA -- with this
12 system, if it is a condition that is not
13 presumed but you have had a high exposure,
14 there is a potential for your receiving some
15 level of recognition of that problem as being
16 probably due to ionizing radiation. And that's
17 what -- that's the job of Dr. Cassano and Dr.
18 Blake, to accommodate, and that takes time.
19 And then the compensation will not be for your
20 having a condition, but for the disability you
21 currently suffer as a result of that condition.
22 This is something -- it's difficult to explain,
23 but it's essential for you to understand so
24 that you have reasonable expectations. And I'm
25 sorry to interrupt and --

1 **MR. FLOHR:** That's quite all right. Thank you
2 for your comments.

3 **VICE ADMIRAL ZIMBLE:** Right.

4 **MR. FLOHR:** Admiral Zimble is correct, VBA's
5 authority to provide compensation for veterans
6 is for the average loss of earnings capacity
7 due to disease resulting from -- or to
8 disability resulting from disease or injury
9 incurred in or aggravated by service. That's
10 been our statutory authority since 1933, first
11 actual published ratings schedule. And we
12 review, as Admiral Zimble said, medical
13 evidence to determine how disabling a certain
14 disease or injury is, and that's what we
15 compensate for.

16 Radiation -- one of the recommendations here
17 was to consider non-radiogenic legislation, and
18 VA has not -- VBA has not accepted that as --
19 as a possible avenue here, for -- for legal
20 reasons. The decisions we make are legal
21 decisions that are based on medical evidence,
22 the best medical evidence that we can gather,
23 but it's a legal decision on a claim for
24 entitlement to benefits. And they're laws that
25 we're required to follow, and they're that

1 protect veterans as well.
2 One of those being that -- we talked about
3 presumptive and non-presumptive. In a non-
4 presumptive type situation -- for example,
5 under 3.311 where you do not have a presumptive
6 disease under 3.309 wherein we require no
7 medical evidence, what a presumption does in
8 these cases is remove the responsibility from
9 the veteran to show that their disease was
10 caused by their exposure to radiation. If that
11 presumption was not there, we would have to get
12 some medical evidence of a causation related
13 between the disease and the exposure in
14 service. So even -- and 3.311 where they're
15 not presumptive in terms of not having to get a
16 dose reconstruction, to rate -- the diseases in
17 3.311, which include all cancers, includes
18 posterior subcapsular cataracts, non-thyroid
19 nodular disease -- all of those are presumed to
20 be possibly caused by exposure to radiation,
21 therefore the veteran does not have to submit
22 evidence showing that it's radiogenic.
23 Any other disability, however, has to have some
24 evidence -- some medical evidence or scientific
25 evidence showing a relationship. So the

1 veteran can go to their private physician, and
2 they can get a statement from their physician
3 stating I believe Mr. Jones's disability -- his
4 disease was caused by his exposure to radiation
5 in service. Now we are required to accept
6 that, unless it were so incredible -- and I
7 can't think of any situation where we've had an
8 inherently incredible claim that we could say
9 just -- just dismiss out of hands. We, being
10 decision-makers, are not allowed to use any
11 medical knowledge of our own in making a
12 decision. We can cite to medical evidence that
13 we gather. We can cite to competent medical
14 authority -- treatises, for example, scientific
15 publications -- in making a decision, but --
16 but -- and a lot of this came about since the
17 Court of Veteran Appeals was formed in 1988.
18 Prior to that time we did use our own medical
19 expertise in certain cases, and we had -- we
20 had physicians on our rating boards that we
21 could ask for their opinions, ask them to
22 review the case.

23 VA's appellate body, the Board of Veterans
24 Appeals (BVA), had doctors as part of their
25 decision-makers. And a very early decision of

1 the Veterans Court called Colvin v. Derwinski,
2 it was one of the first years, in 1989, the
3 Court held that VA could not -- decision-makers
4 could not substitute their own unsubstantiated
5 medical opinions for medical evidence that had
6 been submitted.

7 So what that meant was that BVA started getting
8 rid of their doctors because their doctors
9 could no longer sign decisions because it would
10 be a conflict of interest for them to use their
11 medical knowledge in deciding a claim. We
12 started getting rid of our doctors as well,
13 because our doctors could no longer look at a
14 case, given an opinion in the decision-making
15 process, and then sign the decision -- again,
16 being a conflict of interest.

17 So when we get medical evidence we can't just
18 discount it. We can't say that well, it's
19 incredible to claim that -- that condition X
20 was caused by radiation when I've got a
21 doctor's statement here, and doctors are
22 capable of making medical statements. So we
23 have to accept it, and I really believe that if
24 we were to try and go to a procedure where we
25 could discount certain types of claims as being

1 radiogenic, it wouldn't get very far. It
2 wouldn't get past the Board of Veterans Appeals
3 to let them get to the Veterans Court, and if
4 it did, it would -- I'm confident it would be
5 thrown out, so we just have a system where we -
6 - denials would be appealed to the board,
7 they'd come back, we'd end up going to Dr.
8 Blake for a dose reconstruction and -- and
9 that's basically what would happen.

10 So, moving on from there, I was asked to
11 provide a -- and you can't read this, it's too
12 small, but Dr. Cassano had a larger one. It's
13 essentially the same except the Jackson VARO
14 is not there. But what happens in a flow
15 diagram for adjudication of a radiation claim,
16 when we get a claim -- any claim from any --
17 any individual, any veteran or survivor or
18 dependent, we're required by law to provide
19 certain information to the claimant. We're
20 required to provide them with notice of what is
21 required to successfully complete that claim,
22 for it to be granted, what is needed.

23 We're required to tell the claimant what
24 evidence VA is going to get for them. We're
25 required to tell the claimant what evidence we

1 expect them to provide to us. And if we're
2 going to get a medical examination, we're
3 required to tell them (indiscernible) we get a
4 medical examination as well. So all these are
5 legal requirements under -- under law that we -
6 - we have to do. And some of that builds in
7 time 'cause we ask for someone to provide us a
8 response to this letter within 30 days -- it
9 used to be 60 days; we just changed it to 30
10 days to try and help eliminate some of the time
11 of just -- the claim sets around where nothing
12 is happening.

13 But once the claim is filed here -- a radiation
14 claim -- it is to be sent to our Jackson VARO.
15 We consolidated the radiation claims to that
16 office, worked with DTRA and with Jackson VARO
17 to get that done, and with our Office of Field
18 Operations, which is over all of our field
19 offices. I can verify -- confirm what Admiral
20 Zimble and Dr. Blake said about the
21 relationship between DTRA and Jackson VARO.
22 Carol Sullivan is -- is our person in the
23 Jackson VARO who coordinates the radiation
24 claims activity there. She has told me that
25 since they got a DoD computer in there with the

1 appropriate security and -- and all of that,
2 said it's been wonderful. Said they could --
3 have the best working relationship possible,
4 and I want to publicly thank Dr. Blake for --
5 for getting that done, says -- they said it's
6 just wonderful, it's working very well.
7 But in a claim for a -- for a presumptive
8 condition, for example, all that Jackson VARO
9 will do is ask DTRA to verify that the claimant
10 was a participant, was an atomic veteran, was
11 either exposed to radiation in an atmospheric
12 nuclear test or was in the occupation forces of
13 Nagasaki or Hiroshima, or prisoner of war in
14 certain parts of Japan. Nothing else is
15 needed, no radiation dose; only medical
16 evidence of the disability, confirmation of
17 participation, and the claim is granted.
18 Assuming, of course, we have current medical
19 evidence which would show the severity of the
20 disability. If not, we would get a -- an
21 examination.
22 In the non-presumptive conditions, however,
23 Jackson VARO will ask DTRA for a -- first,
24 documentation that they -- person was exposed;
25 and second, for a reconstructed dose

1 assessment. When that is gotten by Jackson
2 VARO, if it's not one of the cases we're
3 expediting, the types of -- like skin cancers,
4 it will be forwarded to my staff in Washington.
5 We will review it. We will write a request to
6 Dr. Cassano's office for a medical opinion.
7 They will get our request. They will review
8 it, give us a medical opinion. We in turn will
9 get the claimant's file back from Dr. Cassano's
10 office. We will review it and we will provide
11 Jackson VARO then with an advisory opinion as
12 to whether it is at least as likely as not that
13 the claimed condition either was or was not due
14 to their radiation exposure. Jackson VARO then
15 will make the decision, notify the claimant,
16 and then the claimant's file goes back to the
17 Regional Office of jurisdiction.
18 So that's the way it works. It's working a lot
19 better now than it used to be. I know for
20 many, many years radiation claims were the
21 oldest category of claims that we had, and it
22 was because of -- well, a lot of factors, but
23 the streamlining that has been done as a result
24 of a lot of the work of this Board and Dr.
25 Blake's hard work and our consolidation of

1 claims has resulted in a much quicker process.
2 There's still outliers. There are some cases
3 just take a long time 'cause we either can't
4 get evidence, the veteran can't give the
5 evidence that we need, and -- and they just
6 keep -- letters keep going back and forth and
7 sometimes it just takes a long time. But we
8 try to get them done as quickly as we can. And
9 more importantly, we try to make sure we get
10 the right decision.

11 You know, you're not always going to get your
12 claim granted. But I can assure you we will
13 look at it and we will do everything we can to
14 -- to make the right decision -- grant it, if
15 we can.

16 I should maybe mention that when we make
17 decisions on claims, there are three -- three
18 really avenues, three outcomes. When we review
19 all the evidence that we have available, if the
20 majority of evidence supports the claim --
21 there's more evidence supporting the claim than
22 there is not supporting the claim, or against
23 the claim -- we grant the claim, of course.
24 If, in reviewing evidence, there is a certain
25 amount -- the evidence -- the favorable and

1 unfavorable evidence is what we call in
2 equipoise -- that is, there is as much
3 favorable evidence as there is unfavorable
4 evidence -- then we grant the claim also. The
5 benefit of the doubt applies and the claim is
6 granted. It's called the tie goes to the
7 runner -- you know, in baseball -- if it's a
8 tie, the veteran wins. So the only time a
9 claim is denied is when the unfavorable
10 evidence outweighs the favorable evidence in a
11 claim.

12 And I can tell you that right now we have
13 almost 3 million veterans on the compensation
14 rolls. That's the most the VA has ever had,
15 and we're getting a mil-- we're going to get a
16 million claims this year. It's the most we've
17 ever received. And we're making more decisions
18 than we've ever made, but we still don't --
19 we're getting more claims than we're -- we're
20 capable of making decisions, but we are trying.

21 **UNIDENTIFIED:** (From the audience and off
22 microphone) (Unintelligible)

23 **MR. FLOHR:** I'm sorry?

24 **MR. KING:** Is the money there?

25 **MR. FLOHR:** Is the money there? Congress has

1 been very, very good to us. In the last couple
2 of years they've provided us authorization and
3 funding to hire new staff, which we have done.
4 We have more people in our offices than we have
5 room for them to sit in -- in a number of
6 cases. Recently, as part of the American
7 Readjustment and Recovery Act, ARRA, Congress
8 gave us \$150 million to hire temporary
9 employees, not to exceed the end of 2010. And
10 those will be -- people will be used not in
11 decision-making, but in taking care of some of
12 the more -- more menial duty, like seeing where
13 mail should go, making maybe some adjustments
14 on marriage and birth certificates, adding a
15 dependent, things that they can do without
16 actually getting involved in the decision-
17 making process. But that will free up our
18 decision-makers to make more decisions -- at
19 least that is the intent, and so we'll see how
20 that works. But yes, Congress has been good.

21 **MR. KING:** What about compensation money, is it
22 there, too?

23 **MR. FLOHR:** Oh, yes, compensation money never
24 runs out. That's an unlimited funds. Any time
25 it runs low, they replenish it automatically.

1 The -- Jackson VARO provided me with this data
2 as of May 15th. Since they began operating in
3 October 2006 they've accepted 4,600 claims for
4 adjudication. I can also tell you that of that
5 total just over 3,000 are atomic veterans, and
6 about 1,500 are occupational claims.
7 They have no claims pending initial review.
8 All claims have been reviewed when they've been
9 received. They have no claims that have not
10 undergone the initial development needed. They
11 have about 538 claims now pending development,
12 some stage of development. DTRA is -- they're
13 looking for 49 responses; 12 of those are
14 presumptive. And that's, as I said earlier,
15 just confirmation that the person was a
16 participant. They have 40 cases ready to rate,
17 and what that means is that a veteran service
18 representative has looked at the file, they've
19 looked at the development that's been done,
20 it's all been completed, everything we've asked
21 for from the veteran, from any other agency --
22 Social Security, whatever we would need to --
23 doctors, VA hospitals, any evidence we need has
24 all been assembled. They certify then that the
25 case is ready to rate, and they send it to the

1 rating board to be rated.
2 That brings us to a discussion we had yesterday
3 about possibly granting certain issues
4 immediately when it's found that they can be
5 granted, while other disabilities or other
6 claims are pending. And again, my wife was
7 nice enough to point out that because of the
8 rates a lot of the VSRs, the veteran service
9 representatives, who certify that the case is
10 ready to rate don't really -- they're not aware
11 of that. They're not aware that that
12 particular issue might be granted initially.
13 So it's -- it's going to be somewhat of a -- a
14 process, maybe training or -- or something, but
15 it's -- it's -- it's not always going to be
16 available. If someone doesn't recognize that
17 there is a claim there that can be granted, a
18 non-rating type of person, then they may not
19 know to send it to the rating board to rate.
20 We'll -- we'll talk about that, though, more.
21 Okay?
22 Total claims that have been granted by Jackson
23 VARO in that time -- 1,482, that's a lot. I
24 mean before this Board started -- I mean the
25 only thing you ever heard was VA has granted

1 less than 50 claims. That was the number.
2 That was in Congress. Everybody believed it.
3 I knew, however, my staff alone had granted
4 more than 50 claims in just -- just two years,
5 but that number was stuck -- 50 claims that
6 we'd granted -- 1,482 have been granted. Now
7 the majority of those are -- are, I'm sure, the
8 skin cancer type cases because of our expedited
9 processing and the improved science that has
10 led to -- to that.
11 Total denied, 2,494.
12 In C&P Service, on -- on my staff, just in FY
13 '09 to date, since the beginning of October of
14 last year, we've completed 301 radiation cases,
15 36 have been granted, 229 denied, returned 36
16 for additional development. It's taken just
17 over 68 days on average to get the case in to
18 C&P, get a medical opinion from Dr. Cassano's
19 office, get it back to our office and get it
20 back to Jackson VARO. And we have 87 cases
21 pending, and the vast majority of that -- the
22 reason we -- we piled up so many cases when Dr.
23 Neal Otchin, who was the predecessor to Dr.
24 Cassano, when he retired it took us a long time
25 to get a replacement, and so now we're working

1 through those and getting that done.

2 That's it. Any questions?

3 **VICE ADMIRAL ZIMBLE:** Okay, thank you very
4 much, Brad. We really appreciate that
5 presentation. It's very helpful. Dr. Zeman?

6 **DR. ZEMAN:** Yes, thank you for the
7 presentation. I just have one clarifying
8 question. On chart 8 when you list the Jackson
9 VARO Regional Office claims review --

10 **MR. FLOHR:** Yes.

11 **DR. ZEMAN:** -- when you say there the total
12 granted, does that mean that it was granted as
13 a service connection, or --

14 **MR. FLOHR:** Service connected.

15 **DR. ZEMAN:** -- or does it mean that it was
16 compensated?

17 **MR. FLOHR:** No, that means service connected.
18 There is -- and we talked about that some
19 yesterday. (Indiscernible), our auditor, is
20 going to provide a different column -- the
21 claim is for service connection, and if we
22 grant that, that is a granted claim. It may
23 not be compensable. In other words, there may
24 not be any disability associated with that
25 particular disability, but it's still service

1 connected, it's a granted claim. Getting a
2 zero percent evaluation does entitle the
3 veteran to other certain benefits such as
4 hospital treatment, higher level priority care,
5 in some cases even maybe vocational
6 rehabilitation, but that -- that's -- there are
7 benefits associated with it. The only denials
8 are those where there's -- service connection
9 itself is denied.

10 **DR. ZEMAN:** And could you explain the next
11 chart then, the C&P Service. What -- what does
12 it mean there when service connection (SC) is
13 granted?

14 **MR. FLOHR:** That means -- I should -- I should
15 not say -- that might be misleading. What that
16 means is we have provided an advisory opinion
17 to Jackson VARO stating that, in our opinion
18 after review of VHA's medical opinion, we
19 believe it is at least as likely as not that
20 the disability resulted from radiation
21 exposure. We provide them with an advisory
22 opinion because we don't actually make the
23 decisions ourselves. We certainly do expect
24 that they will take our advisory opinions under
25 consideration when it gets back, and I think

1 they do. So that's what I mean by granted. We
2 have found the claim to be -- to be favorably
3 decided.

4 **VICE ADMIRAL ZIMBLE:** Dr. Lathrop.

5 **DR. LATHROP:** Thank you, very good pre--
6 presentation. I'd just like to ask a couple of
7 clarifying things. On your chart 4, "provide
8 claims outcomes to VBDR, problematic --
9 privacy". So first of all, just to clarify,
10 that has to do with after the determination of
11 more likely than not than what actual
12 compensation comes, which involves the
13 additional decisions of perhaps other parts of
14 the claim and the percent of compensation? Is
15 that -- is that what that means?

16 And if so, why does this have a privacy problem
17 and initially, from a discussion earlier today,
18 there's a discussion that we could be provided
19 with the determination of more likely than not.

20 **MR. FLOHR:** With the actual determination --
21 you mean with names and claim numbers and --

22 **DR. LATHROP:** We are not --

23 **MR. FLOHR:** -- Social Security numbers?

24 **DR. LATHROP:** -- interested in names. The last
25 thing we want to do is --

1 **MR. FLOHR:** Well, that's -- that's -- that's
2 what we're talking about there.

3 **DR. LATHROP:** Well, then is privacy such an
4 issue that -- because basically I would
5 imagine, just straightforwardly, all you have
6 to do is re-- is -- is redact the names. And
7 in this day and age of databases, that's not
8 hard to do.

9 **MR. FLOHR:** That's true.

10 **DR. LATHROP:** So therefore could you explain
11 the "problematic, dash, privacy" here, and does
12 that become a yes, we can do that with some --
13 some redaction?

14 **MR. FLOHR:** I think we could. Unfortunately,
15 again, I -- just coming here -- here lately and
16 not aware of these particular recommendations -
17 -

18 **DR. LATHROP:** Well, we're trying to take
19 advantage of you --

20 **MR. FLOHR:** Yes, I understand that.

21 **DR. LATHROP:** -- and this is all on the record,
22 so when you say "yes," it's on the record.

23 **MR. FLOHR:** I would say we'll certainly look at
24 that further and I'll get back to you.

25 **VICE ADMIRAL ZIMBLE:** Dr. Lathrop, I -- I think

1 I'd like to hear from Dr. Blake as to how
2 important it is for Dr. Blake to receive that
3 additional feedback on a case-by-case basis.
4 He -- we get that feedback now in -- as a
5 statistical -- you know, format, so that we
6 know the percentage of those cases. But on a
7 case-by-case basis, I don't know if -- I used
8 to think that would be very important feedback
9 to -- to help with the subsequent RDAs, but I
10 think -- I'd like to hear what Dr. Blake has to
11 say to see whether or not we need to pursue
12 that recommendation or not.

13 **DR. LATHROP:** Okay, before he speaks, let me
14 just suggest that there's another idea that we
15 -- we've had in the communication subcommittee,
16 which is in the letters to the veterans we find
17 it -- we -- we deem it very important to manage
18 the expectations of veterans, so we're not sure
19 quite how we'd want to do this. We've had
20 several trial phrases going back and forth
21 which sort of say it's up to you to claim, it's
22 in your right to claim, please decide on your
23 own whether or not to claim -- oh, by the way,
24 only four percent get compensation, or
25 something like that. We're not sure how to

1 word that. But part of our discussion is
2 managing of expectations, how to (inaudible)
3 claims, fully aware that the decisions of how
4 much compensation to give, after the more
5 likely than not, involves a whole host of other
6 -- other factors. Sorry then, Dr. Blake.

7 **DR. BLAKE:** To answer Dr. Zimble's question
8 quickly, it's not that important at this point
9 -- point in time. It was more important in the
10 beginning when we were establishing expedited
11 radiation dose assessments, and the reason it
12 was is, for instance, if we knew some of them
13 would be service connected and ultimately
14 compensated, we did not have to worry about the
15 benefit of the doubt. It affected some of our
16 -- how we actually processed the results,
17 whether we need to put in an extra quality
18 assurance step to make sure that we're always
19 protecting the veteran. If they were going to
20 go straight to service connection, we didn't
21 need to do that, and that made some significant
22 changes over in the Department of Defense, how
23 we set up procedures. But those questions have
24 been pretty much answered. And the way we got
25 around that initial concept was we were able to

1 get the figures from VHA on service connection.
2 It wasn't a direct dollars on compensation, but
3 the service connection from VHA, those values
4 were presented publicly at the (unintelligible)
5 were sufficient for us to move ahead.

6 **VICE ADMIRAL ZIMBLE:** Thank you for that. Dr.
7 Fleming?

8 **DR. FLEMING:** I have a -- I have a comment, but
9 first I have a question for you, and it's the
10 last slide again. If you could just clarify,
11 the 68.7 days -- average days to process, now
12 that is after Cassano sends the opinion to --
13 the medical opinion to C&P and before it goes
14 to Jackson VARO?

15 **MR. FLOHR:** No, that is from the time we
16 receive it in C&P. When we receive it in the
17 Compensation and Pension Service --

18 **DR. FLEMING:** From?

19 **MR. FLOHR:** -- from Jackson VARO.

20 **DR. FLEMING:** From Jackson VARO. Okay, so it
21 includes the time that Dr. Cassano's working on
22 it.

23 **MR. FLOHR:** Right, exactly.

24 **DR. FLEMING:** Okay.

25 **MR. FLOHR:** Completes -- it is the total time

1 that we have it, from when we receive it from
2 Jackson VARO to when we sent it to Dr.

3 Cassano's office for an opinion, we get the
4 opinion back and return it to Jackson VARO.

5 **DR. FLEMING:** Okay, thank you. I wanted to
6 bring that up because you'll see in
7 Subcommittee 2's report later today that the --
8 the total length of time for the processing is
9 a little bit longer. That's just VHA's, but --

10 **MR. FLOHR:** Oh, yes, absolutely.

11 **DR. FLEMING:** -- I just wanted --

12 **MR. FLOHR:** That's not the total claims
13 processing --

14 **DR. FLEMING:** -- just wanted to be --

15 **MR. FLOHR:** -- that's just C&P and VHA
16 processing time.

17 **DR. FLEMING:** Thank you. The other -- the
18 comment -- the other comment I wanted to make
19 was because Dr. Zimble -- Admiral Zimble has --
20 had been bringing up RECA and the difference
21 between RECA, which is that other legislation
22 for compensation from DoJ and the compensation
23 program here with the Veterans Administration.
24 And I'm not a scientist, and neither am I a
25 soldier. I am a professor, and a professor of

1 ethics. And one of the things that ethicists
2 do these days is look a lot -- lot at this
3 phenomenon called compensation, and they try to
4 make some sense of what compensation is and
5 what it's trying to rectify or what it's trying
6 to take care of when someone asks for or seeks
7 compensation. And there's a distinction that
8 is made, in the ethic -- ethical work that is
9 done, between a harm, a lost -- a loss, and the
10 effects of a loss. And -- and sometimes that
11 distinction is only the harm and the loss, but
12 more recently ethicists have been wanting to
13 make a distinction between the loss and the
14 effects of the loss. And the way to understand
15 that in relationship to what we've been saying
16 earlier this morning is like this, that the VA
17 recognizes the difference between a loss that
18 might have occurred, which is more likely than
19 not a medical condition; and the effects of the
20 loss, which would be the disability associated
21 with the medical condition. So -- so they
22 actually provide some forms of medical support
23 for the loss and then, in some cases, if there
24 is an effect of the loss, then they will
25 provide some disability payments -- but not

1 always -- but they do provide some form of
2 medical support for the loss.

3 RECA doesn't make that same distinction. For
4 RECA -- what RECA does is effectively give you
5 a one-payment lump sum for the loss itself, and
6 there are no provisions for any medical support
7 for RECA.

8 So in my experience with the RECA population,
9 what many of the folks have done that have
10 received this lump sum payment that are not
11 veterans, is they have gone and they have paid
12 off the medical bills associated with the loss,
13 and so that distinction gets collapsed.

14 So I said that for the record so we would have
15 that -- that wisdom recorded.

16 **VICE ADMIRAL ZIMBLE:** Thank you, appreciate
17 that also. For the record, the veteran who
18 obtains a lump sum of RECA money will not get
19 any further compensation from the VA until it -
20 - they will get it, but it'll be off-set by the
21 \$75,000. So there is an off-set condition, but
22 they can apply -- in certain cases, can apply
23 to both agencies and get some level of claim
24 from both, some level of adjudicated
25 compensation.

1 Did you have anything more to say, Dr. Lathrop?

2 **DR. LATHROP:** Just one more thing, thank you.
3 Just briefly on -- on slide 6, Brad, you
4 mentioned "provide information on 3.309 grant
5 information -- currently pulling data" -- so
6 does that mean we'll be getting that at some
7 point?

8 **MR. FLOHR:** That means I don't know about that
9 data request and I'm going to have to check
10 with Tom Pamperin when I talk to him and see
11 where that is. But yes, I'll make sure that
12 you do get that.

13 **DR. LATHROP:** Thank you.

14 **VICE ADMIRAL ZIMBLE:** Mr. Groves.

15 **MR. GROVES:** Brad, back to the same slide that
16 Gary had a question on where we talk about the
17 total number of claims that have been granted
18 at Jackson VARO, and that number is 1,482. And
19 you implied that that number was large, most
20 likely, because of the expedited activity
21 relative to skin cancers. I would have
22 expected that a number of those would also be
23 presumptive cancers --

24 **MR. FLOHR:** Oh, certainly, I don't know the
25 number, but yes.

1 **MR. GROVES:** -- because there is no dose
2 reconstruction process for that. It's just a
3 matter of validation of participation, and then
4 having one of the 22 cancers gets you granted -
5 -

6 **MR. FLOHR:** Uh-huh.

7 **MR. GROVES:** -- whether there's a disability or
8 not, and then you --

9 **MR. FLOHR:** Oh, absolutely.

10 **MR. GROVES:** -- explained that it --

11 **MR. FLOHR:** Yeah.

12 **MR. GROVES:** -- not all grant -- grantees get a
13 percent disability, but they do obtain a -- a
14 benefit from being -- having a service-
15 connected...

16 **MR. FLOHR:** Yes.

17 **MR. GROVES:** Okay. Thank you.

18 **VICE ADMIRAL ZIMBLE:** Dr. Swenson.

19 **DR. SWENSON:** Thank you. I'd like to come to
20 Brad's aid on the question that Dr. Lathrop
21 asked and follow on with Dr. Blake. On the
22 privacy issue, we did originally ask for that
23 information to be sent to Dr. Blake, and then
24 there was more discussion within our Board
25 where we decided basically that af-- you know,

1 VA was researching it, said privacy issues, and
2 then we as a Board agreed not an issue, we
3 don't need that information with the names.

4 Okay?

5 And then kind of to answer Dr. Fleming's
6 question and for -- when I give the report for
7 SC-2 this afternoon, our audits are cases from
8 probably '07 and '08. His data is after Dr.
9 Cassano arrived, so his time -- the turnover's
10 probably much better now that they have
11 somebody in that position. Although we did
12 have an interim physician, there was still some
13 time --

14 **MR. FLOHR:** Yes, and I again --

15 **DR. SWENSON:** -- where there was --

16 **MR. FLOHR:** -- have to thank Dr. Blake for
17 that. He was a great asset to us.

18 **VICE ADMIRAL ZIMBLE:** Dr. Lathrop. I want you
19 to know that you are now pushing into
20 lunchtime.

21 **MR. GROVES:** But no pressure.

22 **VICE ADMIRAL ZIMBLE:** That's true.

23 **DR. LATHROP:** For those in the audience, you
24 should be aware -- every Board meeting sort of
25 goes like this. Dr. Zimble has an ir-- an

1 increasing degree of irritation at my
2 questions, so this is all normal, all normal.
3 Just a quick question for Dr. Swenson. Did you
4 say that you decided you didn't need that
5 information with the names to mean you could
6 use the information without the names?

7 **DR. SWENSON:** I think the Board -- we talked
8 about it as a Board, and if you look back in
9 probably the minutes, we decided that it wasn't
10 so important to ha-- for DTRA to have the names
11 and whether it was denied or compensated, but
12 we wanted the information, the data -- not
13 necessarily the names -- in that metric. So I
14 think it was as a Board that that decision --

15 **DR. LATHROP:** Then we decided --

16 **DR. SWENSON:** -- was made.

17 **DR. LATHROP:** -- we would like the data without
18 the names.

19 **DR. SWENSON:** Right, and I think that's
20 pending.

21 **MR. FLOHR:** That's the data, right.

22 **DR. SWENSON:** Yeah.

23 **VICE ADMIRAL ZIMBLE:** All right. I think it's
24 time for lunch, and ladies and gentlemen,
25 please --

1 **MR. BELL:** Before we break, sir, there was one
2 question regarding the report and the status so
3 that we can use for Congressional --

4 **VICE ADMIRAL ZIMBLE:** Okay.

5 **MR. BELL:** -- coming up.

6 **VICE ADMIRAL ZIMBLE:** All right, I'll -- I'll
7 take -- fine, we'll take care of that before
8 the break. We have been asked just recently by
9 the Senate Veterans Affairs Committee staffer -
10 - senior staffer -- to please come and give an
11 update to -- to the -- to the Senate, and we're
12 going to be happy to comply with that. It'll
13 be -- still be several weeks off, but want to
14 make sure that we have all the results
15 documented from -- from the session today
16 available, and this is a great opportunity to
17 provide that wonderful history of the VBDR that
18 you've all looked at and you've all
19 participated in -- in putting together a very
20 fine publication. I've submitted that
21 publication to both agencies, and DTRA has been
22 -- has tab-- made very favorable comments
23 regarding it; have not heard yet back from the
24 Veterans Administration. And as a courtesy to
25 the Veterans Administration, have given them an

1 opportunity to comment on that before I present
2 it. But when I go to the Hill, I will be
3 presenting the Senate with that history, so I
4 would just take this opportunity to remind the
5 Veterans Administration that we would encourage
6 a comment from them, positive or negative, so -
7 - to -- we might want to make any corrections
8 that they find need to be corrected before we -
9 - before we present it to the Hill.

10 And that -- does that cover it for you, Mr.
11 Bell?

12 **MR. BELL:** Yes, sir. Thank you.

13 **VICE ADMIRAL ZIMBLE:** Okay, my pleasure. We
14 are now adjourned for lunch.

15 Please be back at 1:30 promptly. We've got a
16 lot of business to do before the day ends.

17 (Whereupon, a recess was taken from 12:30 p.m.
18 to 1:40 p.m.)

19 **A REPORT FROM SUBCOMMITTEE 1 ON**

20 **DTRA DOSE RECONSTRUCTION PROCEDURES**

21 **VICE ADMIRAL ZIMBLE:** Okay, it's now time to
22 begin the committee reports and we're going to
23 take those in numerical order. We'll start
24 with Subcommittee number one. Mr. Beck, would
25 you be so kind as to -- to present your report
to the Board.

1 **MR. BECK:** Thank you, Mr. Chairman. As usual,
2 Subcommittee 1 writes a very large report
3 which, if I tried to read the whole thing,
4 everybody would get very angry. So y'all have
5 a copy and I will just sort of go through the
6 high points. But I do want to at this time
7 read the -- the charter or the task that this
8 subcommittee is supposed to perform. We always
9 put this at the beginning of our report, but
10 it's kind of important this time because I'd
11 like to, at the end of this report, have the
12 Board discuss some of our thoughts about what
13 we should be doing in the future, which are
14 slightly different from what we're supposed to
15 be doing right now according to this charter.
16 So just to remind you, we're supposed to assess
17 dose reconstruction procedures, including
18 revisions, used by NTPR contractors, and these
19 will include the procedures for determining
20 exposure scenarios, the technical procedures
21 for reconstructing doses, and related
22 documentation, such as the Standard Operating
23 Procedures, and we have been doing that all
24 along and reporting that to you, and we will
25 report what we've done in the last -- since the

1 last meeting on that.

2 We are also supposed to conduct periodic audits
3 of a random sample of NTPR dose reconstructions
4 to assure correct procedures are being followed
5 and to ascertain the quality of reported doses
6 and associated uncertainty estimates. And as I
7 go through this report you will see, because of
8 the way things have changed, that we may need
9 to modify this particular task somewhat in the
10 future and along the lines of what Dr. Lathrop
11 said earlier.

12 And finally, we're supposed to prepare a
13 summary of our findings and recommendations for
14 the Board consideration and approval, and
15 that's what I will now do -- tell you what
16 we've done since our last -- since the
17 September 2008 VBDR meeting and what we found
18 in some of our -- looking at some of the
19 procedures, and then give you some discussion
20 topics and one recommendation or one proposed
21 recommendation for the VBDR to give to DTRA.
22 So in terms of our activities since the
23 September meeting, we did audit three expedited
24 cases which we received from DTRA back in
25 February, and we also audited one of the -- the

1 double-blind case that Dr. Blake talked about,
2 which was a full dose reconstruction. It was
3 not actually a -- it was sort of a case that
4 was tweaked a little bit, but it was based on a
5 real case and a real exposure scenario.
6 In March we met at the NTPR contractor facility
7 in Virginia, and we do this before every VBDR
8 meeting to review our findings of our audits
9 with the contractors and with Dr. Blake and to
10 receive an update from him. And we find these
11 meetings very useful in terms of being able to
12 informally discuss possible improvements in the
13 program, which usually then get made before we
14 even get to the VBDR meeting so then we don't
15 need to make recommendations.
16 I won't go through the list of things we
17 discussed at that meeting, but they're listed
18 here and you can look at them.
19 One of the things that we did do is -- in terms
20 of the looking at some of their new procedures
21 was that Dr. Blake asked SC-1 to review the
22 final draft of a proposed Technical Basis
23 Document describing the development of
24 methodology to perform probabilistic dose
25 assessments -- and he talked about this in his

1 presentation. And we did give a report on that
2 to DTRA on June 1st.

3 And then yesterday we met with -- SC-1 met --
4 had a meeting with Dr. Blake and also with the
5 contractors who prepared this draft, and we had
6 a very useful and productive discussion about
7 our comments and their replies to our comments.
8 They pointed out that several of our comments
9 were not too good, and we recognized that. And
10 they also accepted a number of our comments as
11 things that they had to look at, so I thought
12 that was a very productive meeting.

13 We also discussed the double-blind analysis and
14 the implementation of the Decision Summary
15 Sheets, and some of the other things that we
16 had found in our -- had come up -- some of the
17 other action items that had come up in our
18 meeting that we'd had with the contractor a few
19 months earlier.

20 Some of the findings that we have -- that we
21 found in terms of our audits and assessments
22 since our last meeting -- as recommended by
23 VBDR, most dose assessments now follow these
24 expedited procedures which Dr. Blake talked
25 about. And because of that, there are less

1 than five full dose reconstructions now being
2 performed per year out of about 400 incoming
3 cases. This is really a startling difference,
4 because this really changes -- it's hard for us
5 to audit -- take a random sample of five cases
6 per year, so one of the things we have to look
7 at is whether that's really a worthwhile -- you
8 know, to -- it's a requireme-- I'm not sure
9 it's an actual requirement in the charter
10 specifically, but if it is we would want to
11 reword it. You know, to change it from a
12 routine sort of regular audit to something more
13 sporadic. Not that we want to not do
14 occasional audits, but we certainly don't need
15 to continue to do it as we've been doing it.
16 And as a result, because of this low number of
17 full dose reconstructions -- except for the
18 audit of the double-blind case -- we did not
19 perform any routine audits of full RDAs during
20 this reporting period.

21 Our review of the double-blind case -- and for
22 the audience perhaps, we've been throwing out
23 the word "double-blind" and they probably have
24 no idea what we're talking about. Not that the
25 analysts are blind, but the -- it really means

1 that none of the analysts know what the other
2 one is doing. They have no information about
3 what the other person is doing. They don't see
4 any of the information the other peo-- person
5 is -- the other analyst is doing, so it assures
6 that there's complete independence of these
7 three audits, and they're completely
8 independent and then you can really see whether
9 or not they're able to follow the SOPs and come
10 up with the same answer.

11 One of the things we have found with these
12 double-blind cases, and it's been a very useful
13 finding, is that we've been able to find that
14 some of the versions of the standard methods
15 are -- still need some tweaking and we -- but
16 Dr. Blake has been doing this as a result of
17 this and been able to improve the SOPs so that
18 when these final SOPs get put on the web later
19 this year they will really be much more useful
20 than if we had not gone through these
21 exercises. And I think if we continue to do
22 these exercises we will continue to come up
23 with improvements that will result in further
24 changes, but -- to keep them up to date.
25 We did come up with a few questions about some

1 procedures that were -- that we needed to talk
2 over about how non-radiogenic cases were
3 handled and how doses reported to the veterans,
4 and most of these things have been resolved
5 through our discussions with DTRA directly and
6 so there's no real need to bring them up in
7 terms of specifics.

8 But one of the things we did find was in our
9 review of the three expedited cases. Most of -
10 - as I said, there were only about five out of
11 about 400, so most of these other ones are
12 expedited cases and so we did do this sampling
13 of three of those expedited cases. And one of
14 the key things with expedited cases is the
15 Decision Summary Sheet that you've heard about,
16 and this is sort of a brief summary that tells
17 you why -- you know, in -- what you like to
18 have in this summary is the specifics as to the
19 justification for why this case should have
20 been expedited as opposed to having a full dose
21 reconstruction, why it could be expedited; and
22 also the validity of the doses that were
23 assigned, that they are truly upper limit
24 doses.

25 And what we found in our audit of these three

1 cases was that there was a less than
2 satisfactory explanation, let's say, of --
3 being written down. And I'm happy to say that
4 between the time that we reported this in our
5 subcommittee meeting several months ago and
6 yesterday, DTRA has pretty much fixed this
7 problem so there's -- they -- yesterday we went
8 through a bunch of new cases and they -- now
9 they're doing a real good job of explaining and
10 putting the words into this Decision Summary
11 Sheet that really explain their rationale for
12 making these important decisions that -- that
13 justify whether or not the case should have
14 been expedited, so we're very pleased to report
15 that.

16 We have -- VBDR recommended in February about
17 these double-blinds and, as I said, we -- what
18 we found in the latest one was that the -- the
19 three -- the two contractors plus the DTRA
20 contractor got very similar results for the
21 last case, but there were some small
22 differences which indicated some problems that
23 needed to be fixed, and these have been
24 addressed and resulted in some changes in the
25 SOPs. So -- most of these were -- some of

1 these were just making the SOPs more clear, so
2 that the independent -- and analysts could
3 understand what they were supposed to do a
4 little bit better. One of the things you have
5 here is you have the DTRA contractors, who have
6 years and years of experience in doing this,
7 and so even though something may be written
8 done, they don't even have to read it because
9 they -- they know what it's supposed to say.
10 Unfortunately the other contractors -- they
11 read it and then come out with a different
12 interpretation, so that means that we need to
13 clarify some of these SOPs, and that is being
14 done. And this is part of I think the QA
15 exercises that have been talked about, and I'm
16 sure will be talked about in some of the other
17 committee meetings.

18 One of the things that Dr. Blake mentioned was
19 this probabilistic assessment and this -- one
20 of the outstanding recommendations of the VBDR
21 was to justify these default upper bounds. And
22 we have asked all along that these needed to be
23 justified, that they truly will give you an
24 upper bound that meets the Code of Federal
25 Regulation requirements of the -- being at

1 least the 95th percentile. And what's happened
2 since the last meeting is that there have been
3 two very important technical basis documents
4 that have been produced, one by one contractor
5 that has looked at this x3 for external dose
6 that is assigned to get an upper bound for
7 external dose as a default, and it indeed has
8 found that for most cases this x3 does give you
9 a conservative estimate of the upper bound,
10 that the actual dose is truly less than that at
11 the 95th percent confidence level. There are a
12 few situations where that may be -- not be
13 true, and that will require specific guidance
14 in the Standard Operating Procedures as to how
15 to handle those cases and they'll require
16 further investigation. But we now have seen
17 pretty good indications now or pretty good
18 documentation that -- that applying these
19 default factors for most cases really is
20 adequate, and the probabilistic document that
21 we reviewed that I talked about a few minutes
22 ago -- besides looking at the x3, also looked
23 at the x10 factor for internal. And it again
24 made a very strong case that for most cases the
25 x10 was indeed very conservative, in fact. And

1 so I think that's -- they have gone a long way
2 for -- toward satisfying that -- that
3 recommendation. And as Dr. Blake said, once
4 they publish these reports, he will consider
5 that he has satisfied it, and I think probably
6 we'll agree -- pending looking at what he
7 writes.

8 I might mention that one of the things that
9 came up at our SC-1 meeting when we were
10 talking about that -- while we were still
11 looking at justifying these x3 and x10 -- was -
12 - the suggestion was made that perhaps it might
13 be a good idea to go back and look at all the
14 cases since the National Academy of Sciences
15 report to make sure to ask whether or not it
16 might have made a difference if the x3 and the
17 x10 weren't good enough. And Dr. Blake has
18 initiated an internal study to do this, and we
19 saw some preliminary results yesterday which
20 suggested that there probably were very few, if
21 any, cases that it would have made a difference
22 even if the x3 weren't quite -- or x10 weren't
23 quite, but he's going to complete this and I
24 imagine will report to SC-1 and also to the
25 Board on this. So that will be an extra piece

1 of information that will, you know, make it
2 more comfortable that when they do use the x3
3 and x10 that they really do represent a true
4 benefit-of-the-doubt upper bound to the
5 veteran.

6 It also will serve as a prelude to deciding
7 whether, for certain cases, you need to do a
8 full probabilistic dose assessment, if there's
9 any question for that case that the x3 or the
10 x10 might not be high enough. It'll tell us
11 the kind of cases and the kind of scenarios
12 where you might need to go, go further and
13 really justify that x3 and the x10 factor for
14 that particular case.

15 In terms of future plans for SC-1, which we
16 mentioned at our last VBDR meeting, it of
17 course continues to depend on the future of
18 VBDR and what we decide VBDR should look like
19 in the future. As I alluded to earlier, we
20 feel there's no longer a need for VBDR to
21 routinely conduct full audits of randomly-
22 selected cases for dose reconstructions. There
23 are just too few of them to do this routinely.
24 We probably still will want to do them
25 occasionally to make sure that everything's

1 fine, sort of checking the checkers. But as
2 mentioned by Dr. Blake, they do have an
3 internal program they call it sometimes
4 external. It's external to Paul's shop,
5 internal to Department of Defense, so it's a
6 distinction you have to keep in mind, and we're
7 supposedly external to everybody.

8 However, we -- we do -- assuming they're going
9 to continue the double-blind program, which I
10 think they will, we will continue to audit that
11 case each time as well. So besides having the
12 three different contractors doing the
13 independent dose assessment, I think SC-1 would
14 want to continue to look at that case and audit
15 it -- all three of them, actually -- and I
16 think that will continue.

17 There's also DTRA's contractor who reviews all
18 the expedited case files and these Decisions
19 Summary Sheets to assure that the cases were
20 handled in compliance with the SOPs. And
21 because, as I said, when we did that audit we
22 did find a problem, even though now it has been
23 fixed, because there are roughly 400 of these
24 being done every year, most of the now dose
25 assessments, I think that's where we would

1 shift our emphasis in terms of audits; that we
2 would want to probably -- with the Board's
3 advice -- perhaps audit a selected version --
4 number of those cases before each of our
5 meetings and report on that. This is sort of
6 checking the checkers, just to make sure that
7 everything is kept up to snuff and there's no
8 slippage. And it wouldn't have to be a lot of
9 them and -- of cases to do that, you know, so
10 we would probably do a lot fewer than we did
11 originally.

12 The National Academy of Sciences report that's
13 been mentioned a lot, that essentially resulted
14 in the creation of VBDR, recommended that there
15 be a continuing, independent, outside oversight
16 of the dose reconstruction process. This was
17 intended to include an overview of not only the
18 RDA preparation, but also the methodology, the
19 Standard Operating Procedures, communications,
20 and the relationships between DTRA and VA. And
21 I think that SC-1's view is that this still
22 needs to continue in some form, and I think Dr.
23 Lathrop has suggested one possible set of
24 things that had to continue. But as far as SC-
25 1, I think our -- our main view is that in some

1 form there has to be some kind of continued,
2 completely independent oversight, whether it's
3 as part of a VBDR or some other organization,
4 that does some of the kind of things that SC-1
5 has been doing in the past. And so I think
6 that's our -- our bottom line. And I think we
7 would also, if SC-1 continues, as part of our -
8 - and VBDR continues, we would want to continue
9 having these informal meetings that we have
10 with DTRA before the regular meetings to
11 resolve informally a lot of these issues.
12 Therefore we -- I doubt that there would be
13 need for formal recommendations. I think most
14 of that -- most of the shift would more be
15 toward offering advice, looking at changes in
16 procedures and assuring that procedures are
17 being followed as they have been adopted.
18 So in terms of the specific suggestions, we
19 only have one recommendation and it's probably
20 superfluous in terms of a formal recommendation
21 because Dr. Blake has already agreed to do it,
22 but for the record, I think we have said that
23 one of the deficiencies that we did find in our
24 audits and our -- also in looking at the
25 different procedures since the last meeting was

1 that the SOPs documenting how and when to
2 expedite cases, and particularly the doses
3 assigned, are still not sufficiently justified.
4 In other words, there's a table in the SOP says
5 you give this dose, but there's really no
6 explanation about where this dose -- how it was
7 arrived at, or at least no satisfactory
8 explanation in terms of our feelings, that we -
9 - we need a better explanation and we need a
10 better justification. And so we've suggested
11 that -- we suggest that VBDR recommend that
12 DTRA improve the current SOPs on expediting
13 cases and also prepare a detailed Technical
14 Basis Document justifying the assigned
15 expedited doses. And Dr. Blake essentially has
16 already agreed to do this, so the Board can
17 decide whether we need to make this a formal
18 recommendation or just take his word for it. I
19 think we can...

20 The current effort by NTPR to develop a
21 probabilistic dose assessment capability, I
22 mentioned that we reviewed the draft. This
23 draft is basically developing the methodology,
24 but it is not -- in our view -- the final
25 answer in terms of actually being able to do --

1 they're developing the framework. And the
2 draft, in about another month, will be ready
3 for publication, and it's a really great start,
4 we feel. And it really defines the kind of
5 framework that they will need to do this when
6 they actually want to start doing probabilistic
7 assessments. But we identified another number
8 of areas where, in order to actually do a full
9 probabilistic assessment -- and the difference
10 between the probabilistic assessment and the
11 types of dose reconstructions -- full dose
12 reconstructions that are being done now is that
13 there what you want is to come up with the
14 actual best estimate you can of the central
15 estimate of the mean, most probable dose and
16 the actual distribution of possible doses.
17 That's opposed to what they do now where they
18 come up with a very conservative value for an
19 upper bound, which is what is used in the dose
20 reconstruction, which may be tens or hundreds
21 of times actually greater. Because the
22 important thing for the veteran's benefit for
23 the claim, you really want to make sure that
24 it's greater, and you don't care if it's much
25 greater in terms of giving maximum benefit of

1 the doubt to the veteran.

2 But for the probabilistic dose assessment you
3 want to really have an engine, so to speak,
4 that will really enable you to calculate the
5 best estimate of the dose. And this will -- in
6 discussions we had with Dr. Blake yesterday,
7 this will not only be useful for this program,
8 but also for many other programs. So Dr. Blake
9 has said that he has other responsibilities,
10 and DTRA has other interests, that will make
11 this a very useful tool besides for this
12 program. So SC-1 really feels they should
13 continue this development beyond publishing
14 this report on the methodology, and so we're
15 encouraging that that be done.

16 The other thing that -- in the interim, what we
17 -- eventually it would be nice to be able to do
18 a full probabilistic assessment for all the
19 full dose reconstructions. I don't think we
20 feel they're there yet, but they only have five
21 -- five a year, don't forget, not the -- just
22 the five. However, in the interim I think they
23 have demonstrated that they can continue to use
24 the x3 and the x10, but that when they do a
25 full dose reconstruction they have to justify

1 that that case is not something unusual where
2 that might not hold, until they're ready to do
3 a full probabilistic dose assessment, and that
4 hasn't always been done up to now, it just -- I
5 mean they haven't had the information to do
6 that. But now I think they have the background
7 to be able to do that in their dose
8 reconstruction. I think it would be desirable
9 eventually to be able to do a full dose
10 reconstruction, but we're not saying that
11 that's actually necessary. But having that
12 capability would be very nice.

13 So just -- I think that the bottom line is
14 that, whether or not VBDR continues in its
15 present form, we need some type of ongoing,
16 completely independent oversight of the
17 program, and I think that that should continue.
18 And I -- if I've left out anything of this
19 written report that my colleagues on SC-1 feel
20 I should have mentioned, I hope they'll pipe up
21 now.

22 **VICE ADMIRAL ZIMBLE:** Thank you very much. I
23 appreciate your report. I appreciate the fact
24 that -- and I congratulate SC-1 for a good
25 working relationship with NTPR and the ability

1 to accomplish things without having the Board
2 make a formal recommendation to the parent
3 agency. I think we need to at least let the
4 parent agency know that the cooperation is
5 there and that progress is being made.

6 I understand, as best a non-mathematician can
7 understand what you've been saying, that you're
8 feeling more as you do these probabilistic
9 assessments and as you refine the probabilistic
10 assessments, we are becoming more and more
11 confident that the upper limit factors of three
12 and ten are appropriate to protect the veteran
13 and to assure that we are giving the veteran
14 the benefit of the doubt; that there may be
15 some exceptions to that in the full RDAs that
16 may require a probabilistic assessment is
17 understood, and it is understood, more
18 importantly, not only by SC-1, but by DTRA --
19 by NTPR.

20 **MR. BECK:** I think you said it even better than
21 I did.

22 **VICE ADMIRAL ZIMBLE:** Well, I want to make sure
23 I got it all down. I have a problem with
24 understa-- with the nomenclature that we're
25 using with the DSS, the Decision Summary Sheet.

1 It's really more than a summary of decisions.
2 It's more a justification for the decision that
3 would be satisfactory for an auditor to
4 understand what the analyzer was thinking as he
5 went through the process. There are many
6 judgment calls. We want to know what judgment
7 was used so that we know whether or not it
8 could be refined. And therefore I think
9 Decision -- Decisions Summary Sheet might be
10 better replaced with a Decision Support Summary
11 -- still using the same DSS, we don't have to -
12 - don't have to go back through the reports.
13 But I think it makes sense, especially if we're
14 moving towards some more formalized, quarterly
15 -- quarterly quality reports, that we have some
16 substantive DSSs with which to develop those
17 reports. And then I think the auditing of the
18 reports over a period of time may be even more
19 productive than auditing random ca-- random
20 studies. But that's just the Chair's opinion.
21 Now I'd like to hear --

22 **MR. BECK:** Let me just --

23 **VICE ADMIRAL ZIMBLE:** You -- you can respond to
24 that --

25 **MR. BECK:** -- before Dr. Lathrop and Curt get

1 up and start yelling, when we started out --
2 don't forget. Because things have evolved,
3 when we started out we didn't have this five a
4 year full and close to 400 expedited. It was
5 sort of the other way around. And the idea was
6 that we were going to have these Decision
7 Summary Sheets to really summarize these master
8 theses of the key decisions in those master
9 theses, and that is part of the -- those full
10 dose reconstructions. The contractor who does
11 the dose reconstruction does a Decision Summary
12 Sheet. In fact, we've also asked the double-
13 blind people, in their next one, to do a
14 Decision Summary Sheet, and there it's really a
15 summary sheet where they take the key decisions
16 and they summarize them and -- and so that --
17 that followed the original idea.
18 Now when we got to the expedited, there you're
19 right, the key thing was to justify the
20 decision. And so maybe -- I mean there is a
21 decision, a key decision, as to whether to
22 justify, and -- and there's some sub-decisions
23 in the sense are -- you look at the SPARE and
24 you say are there any unusual circumstances.
25 And if there are, why didn't I -- why doesn't -

1 - why does this still -- can -- can -- why can
2 this still be expedited, or shouldn't it be?
3 And so there are some key decisions and I'll
4 let the QA people weigh in on whether they want
5 to have two different names for these.

6 **VICE ADMIRAL ZIMBLE:** Okay. Any comments from
7 the Board?

8 **DR. LATHROP:** Yeah, just a couple of -- of
9 course, yeah, I -- I -- we might want to -- I
10 don't think we should quibble over the name of
11 it, but I fully agree, the point of the
12 Decision Summary Sheet or whatever it is, is to
13 record the justifications for the decisions in
14 such a way that an external person, not knowing
15 the details of the case handling, can check it
16 for defensibility, consistency, and fairness.
17 And so that's -- that's much more than a
18 summary, you point out quite well, we -- we can
19 work on the words. But that's the point,
20 defensibility, consistency and fairness.

21 **VICE ADMIRAL ZIMBLE:** As long as that -- as
22 long as those who are preparing those sheets
23 know what's de-- what's desired, we don't have
24 to do anything more.

25 **DR. LATHROP:** That's true, but as long as this

1 mike is on, I just wanted to ask for some
2 clarification from the esteemed Dr. (sic) Beck,
3 what -- because this will come up in our
4 discussion of the future of the Board. Last
5 night over another meeting I was maintaining --
6 I suppose naively and foolishly -- that if we
7 get the quarterly quality reports and DSSs
8 right, then checking on things is not a
9 problem. Anybody who knows what's going on can
10 just check the QQRs and the DSSs to make sure
11 they're all okay.

12 I think -- you can correct me -- you sort of
13 politely said "Lathrop, you fool, that you need
14 -- you need to do a complete audit to make sure
15 that the QQRs and DSSs are -- are accurately
16 done." Now is that what you were saying? And
17 so when you talk about a carry-on thing, by
18 audits, you mean a complete audit, ceiling to
19 floor --

20 **MR. BECK:** Well, no, I don't -- here's what I
21 mean --

22 **DR. LATHROP:** "Lathrop, you fool" again, right?

23 **MR. BECK:** What I -- on the expedited case,
24 what the analyst does is they look at the SPARE
25 which is prepared, which is the scenario -- the

1 veteran is supposed to. And then they look at
2 all the -- whether or not the doses that have
3 been assigned in this -- SOPs for that kind of
4 scenario as expedited doses apply or whether
5 there's some unusual exposure where it might
6 not apply, and then they make a decision yes,
7 it can be expedited. I think that our audits
8 only look at whether or not that has been fully
9 justified and whether we agree with it. We
10 don't -- and that may require us looking at the
11 case file, so you know -- but we don't have to
12 check dose calculations as opposed to what we
13 had to do before because there aren't any dose
14 calculations so it's -- it's much simpler, but
15 -- but there -- you know, as I said, there is
16 an internal -- at least our view of internal --
17 a contractor who does look at this also. And
18 so I do not consider that we have to do a big
19 job, but we are basically checking the checker.
20 We're checking the internal checker. He --
21 that internal checker organization really
22 should be doing this, and so if we look at
23 three or four of these a year and they're doing
24 their job, that's fine. You know, that's all
25 we would -- and then from a perception point of

1 view in the outside world, we have the -- we
2 have this completely independent check to make
3 sure that they continue to do their job in a
4 quality way.

5 Now the statistics you'll be getting should --
6 will be the statistics of their internal
7 quality system, and also our periodic reports
8 as to whether we found any discrepancies --
9 which hopefully will be unlikely.

10 **VICE ADMIRAL ZIMBLE:** Well, I -- I'm grateful
11 to see that you two are coming together in some
12 form of agreement, whether -- and rising above
13 pejoratives to do so. Dr. Lathrop.

14 **DR. LATHROP:** Yes, thank you. Dr. (sic) Beck
15 is certainly a gentleman and a scholar. The --
16 my esteemed colleague from -- yeah. Okay.
17 Maybe this -- this would be a question better
18 deferred till later, but let me just quick --
19 quick ask it now while the topic is alive. The
20 QA/QM people in the room are always concerned
21 about when you find an error, what do you need
22 to do to build in and lock in a systemic fix so
23 the error's not apt to be repeated in a future
24 month or year. Who do you think is most
25 qualified to do that; you, Dr. (sic) Beck, I'm

1 hoping? To figure out the fix, the
2 organizational fix.

3 **MR. BECK:** That's a good que-- I mean I don't -
4 - I think that part of our present mission is
5 to look at any proposed changes in our
6 methodology. Now if the -- if the problem was
7 because they didn't follow their methodology,
8 that's a different issue than if their
9 methodology is flawed. I mean I think that --
10 that our rule would be to say well, we've
11 discovered a problem with the methodology, and
12 that's what we do with the double-blind, we've
13 discovered a problem with the SOPs not being
14 clear and therefore we asked them to be fixed
15 and we checked that they are fixed, and the
16 same thing with the assessment. But if it's a
17 question of just not following the methodology,
18 I think that's something else and I don't think
19 that would be SC-1's --

20 **DR. LATHROP:** Okay, that's more like what Curt
21 and I would do.

22 **VICE ADMIRAL ZIMBLE:** I would think that when
23 you find either a common cause or a special
24 cause that needs a, quote, fix, that that --
25 that needs to be reported. And let's take

1 advantage of the expertise of the entire Board
2 and -- and have a fix follow a deliberation of
3 the Board. But I think we're -- we're finding
4 that up until this point, recommendations that
5 we've made have been -- have -- have turned out
6 to be favorable in terms of their acceptance
7 and their implementation, and the resultant
8 change in the process so far, looking good.
9 And so I think -- I think that -- that we'll
10 probably -- now we'll be looking more at
11 quality and errors in processes and
12 modifications to streamline processes. We can
13 continue in that vein.

14 I had one other concern. I know Dr. Blake
15 wants -- needs to make some sort of statement
16 in his defense, most likely, and Dr. Reimann
17 wants to talk a little bit towards quality, but
18 I would just like to say that the one concern
19 I've seen is in the communication back to the
20 veteran when -- when relaying a decision that
21 concerns an expedited case in which we use an
22 upper bounds dose rather than an actual dose,
23 and the level of confusion that is created by
24 telling a veteran that you did -- you did not
25 have a dose that exceeded such and such, and

1 the veteran interprets that as their dose.

2 **DR. LATHROP:** Yeah, that -- that's an SC-4
3 thing.

4 **VICE ADMIRAL ZIMBLE:** It's a communication
5 issue which I think really deserves to be
6 addressed, and addressed fairly quickly.
7 Dr. Reimann.

8 **DR. REIMANN:** Okay. I -- I see a real risk of
9 clarity breaking out here, so -- as for whether
10 it should be a DSS or DSS, I think it should be
11 a DSSS because it really is Decision Support
12 Summary Sheet. And as far as whether it should
13 be SC-1 or SC-2, the answer to that is also
14 yes. And the point is that if you look at what
15 a DSS is, it bridges between complex documents
16 and a routine quality system, and therefore if
17 SC-3 is trying to -- I think I misused SC-2 in
18 that place, SC-3. If SC-3 sees a particular
19 pattern of problems, the proper fix may very
20 well be highly technical and completely outside
21 of our expertise, and then it becomes a
22 question of how does SC-1 see that in terms of
23 changing, let's say, the SOP document, the
24 basis document. And we would be then proposing
25 how would we then integrate that within a

1 routine, day-to-day operating system. So the
2 point is that there's no way to separate the
3 two roles, and there's a complete need to -- to
4 integrate the way the two subcommittees operate
5 in this -- in this arena.

6 So I think that getting -- I think the real
7 concept, whether you change the acronym or not,
8 is really Decision Support Summary Sheet. And
9 a real question is to what extent is that a
10 living document or to what extent is that
11 something that the Board wants, once and for
12 all, to make a recommendation that this is now
13 the -- the DSS going forward.

14 My own inclination is that should be part of
15 the improvement process. If you have something
16 on your list as a decision support, and that
17 decision is now routinely, 100 percent, always
18 made correctly, why not simplify it and just
19 get rid of that item so that the document
20 itself evolves with the process. So it becomes
21 part of the improvement mechanism.

22 So I think clarifying the two roles -- and also
23 I think this saves me time in a few minutes
24 'cause this is a lot of what we were -- what we
25 need to talk about. And then if you sort of

1 just translate what we're talking about now
2 into the relationship with SC-3 and SC-2, you
3 can kind of get the whole -- the whole picture.
4 But the -- it is a decision support and
5 therefore there's more of a burden on that work
6 if it's decision support than if it's just
7 decision summary. And if we lose the one
8 meaning, I think we trivialize it. But really
9 is -- it's an attempt to bridge between complex
10 basis documents and routine, day-to-day quality
11 assurance. And so that -- and that clarifies
12 the roles. It says why are -- why are two
13 groups sort of meddling on the same basic
14 issues? Well, it isn't meddling because we've
15 had a fantastic relationship with both other
16 committees and with the agency, so -- but this
17 kind of clarification, I think, at this time,
18 can be extremely valuable in seeing not only
19 the way we relate within the Board but also
20 what the Board is trying to do in terms of
21 working with the agencies.

22 **VICE ADMIRAL ZIMBLE:** Thank you, Dr. Reimann.
23 Dr. Blake.

24 **DR. BLAKE:** Two things, and I want to go on the
25 record on both of them. One, on all the

1 recommendations made by SC-1, I certainly, as
2 the program manager, accept those and look
3 forward to working on them. So based on -- you
4 mentioned promises, but I want to say formally
5 yes, we endorse it. Perhaps the most important
6 one is creating a formal publication that we'll
7 post publicly on the technical basis for
8 expedited doses.

9 We did that, to some extent, as we presented
10 those as recommendations to VBDR -- over the
11 years we presented papers on whether it was the
12 basis for skin dose or prostate dose and so
13 forth. But what we need to do now is pull it
14 all together, do a formal, rigorous
15 presentation. So it's not like -- not -- some
16 of this has been presented in public already,
17 but we need to finalize it and pull it all
18 together, and that's what we plan on doing.
19 That's perhaps the most important piece of work
20 of the ones that I agreed to sign up for, and I
21 certainly support all the recommendations that
22 come from SC-1.

23 Second and final item I'd like to mention is --
24 it may be a little difficult to appreciate, but
25 the work done by SC-1 -- Harold Beck, Gary

1 Zeman and Paul Voillequé -- on the technical
2 review they just did for our probabilistic
3 uncertainty analysis was just superb
4 technically. The scientific team on NTPR
5 really appreciated the many hours they spent on
6 providing us feedback and their insights and so
7 forth. And as a health physicist representing
8 Department of Defense, we're very appreciative.
9 It was a very nice piece of technical work, and
10 our team appreciates the feedback we got, so
11 thank you.

12 **VICE ADMIRAL ZIMBLE:** Okay, thank you very much
13 for that.

14 I just want to thank Mr. Beck for providing an
15 excellent recommendation for how we should
16 proceed further. If you look on the second
17 page under tab 9 you'll see our charter, and I
18 think you very well articulated a wonderful
19 transition from what you've been doing to what
20 we need to do in the future. And if you'll
21 look at the item number 3, the -- under the
22 specifics of the mandate of the Board, it's to
23 carry out such other activities with respect to
24 the review and oversight of the radiation dose
25 reconstruction program as the Secretary of

1 Defense and Secretary of Veterans Affairs shall
2 jointly specify.

3 So I think that in your report, provided the
4 report is fully accepted by both agencies, you
5 have -- you've specified what you and we need
6 to do. And as long as the two agencies agree,
7 then you've done an extremely good job and I
8 ask for the Board's consensus in approving the
9 report as articulated.

10 All those in favor?

11 (Affirmative responses)

12 Any opposed?

13 (No responses)

14 Okay. We'll now proce-- you want one last
15 word, yeah. Okay.

16 **MR. BECK:** First of all I'd thank Dr. Blake for
17 the kind words. I don't think we need to make
18 a formal recommendation since he's already gone
19 on the record as going to do this, so I don't
20 think the VBDR need to make any formal
21 recommendations to the agency.

22 I'd just like to point out, and this is sort of
23 to think about af-- when we get to talking
24 about the future of VBDR, but some of the
25 discussion sort of pointed out that maybe we

1 need to reconsider the subcommittee structure
2 that we have now because of the fact that some
3 of our roles are now getting very close
4 together, like the QA role and things like
5 that. So I just think we need to think about
6 this as we go forward, and I just wanted to
7 alert you to that. We haven't put this in our
8 report as making anything formal, but I think
9 this is one of the things we need to talk about
10 because -- particularly if the Board gets
11 scaled down or changed in form, we may want to
12 change the subcommittee structure to be perhaps
13 more compact and so --

14 **VICE ADMIRAL ZIMBLE:** Okay, I think --

15 **MR. BECK:** -- I'd just put that out.

16 **VICE ADMIRAL ZIMBLE:** -- all the Board should
17 keep that in mind. I would say, however, that
18 I'd rather not contribute to the unemployment
19 rate in this country today by laying off any
20 members of the Board. I think that this
21 Board's level of expertise, their participation
22 and each coming from their own perspective and
23 slightly and in some cases significantly,
24 different professions has really added to the
25 strength of the Board's recommendations. So --

1 but the Board -- the mandate for the Board is
2 far less than the numbers we have on the Board
3 -- in the charter -- so we have, again,
4 flexibility in terms of attrition, natural
5 attrition or whatever. But I don't feel
6 compelled to reduce the membership just to
7 restructure because of the change in role.
8 Certainly not from the recommendation that I
9 received from SC-1. So depending upon the
10 recommendations from the other subcommittees,
11 there may be some substantive reason for a
12 modification in the membership, but I don't
13 foresee that yet.

14 **DR. LATHROP:** Yeah, just to -- this may be
15 getting of ourselves, but looking at what we've
16 been talking about, I actually advocate keeping
17 the four subcommittees. It sort of -- it
18 uncannily falls into line that SC-1 works on
19 the -- on the NTPR end, SC-2 works on the VA
20 end, SC-3 brings the quality management and the
21 integration across the two agencies as separate
22 from what SC-1 and SC-2 do, and then SC-4 for
23 the communication. I think whoever set up the
24 subcommittee structure, perhaps accidentally,
25 did a very good job. Probably you.

1 **VICE ADMIRAL ZIMBLE:** No, I'm not -- no, it
2 would never be on purpose.

3 **A REPORT FROM SUBCOMMITTEE 2 ON**

4 **VA CLAIMS ADJUDICATION PROCEDURES**

5 Okay, we'll -- with that, let's move on to the
6 Subcommittee 2. Subcommittee 2, may I hear
7 your report, Dr. Swenson?

8 **DR. SWENSON:** Dr. Zimble, Mr. Wright, the
9 Veterans Board and our honored guests, our vets
10 -- you're why we're here today. Our
11 subcommittee is responsible to provide
12 oversight under the VA portion of the claims
13 process, and we'd like to recognize the
14 addition of Dr. Cassano and Mr. McClung* to the
15 Office of Public Health and Environmental
16 Hazards, who render the medical opinions. That
17 has been a definite positive addition on the VA
18 side, and we'd like to thank you for that.
19 At the September meeting of our Veterans Board
20 we decided we needed to look at 30 more audits.
21 At that time 30 audits had been done, but that
22 was prior to the centralization to Jackson
23 VARO, so we wanted 30 more audits that
24 encompassed cases and claims that were after
25 the centralization.
 We had a March meeting, and at that meeting we

1 reviewed 12 audits. These 12 audits were not
2 random audits, but audits that were made
3 available to our auditor and that happened to
4 come through the C&P office. After we reviewed
5 those, we wanted the next audits to be random,
6 and we asked DTRA to provide a list of full
7 RDAs -- cases, and we randomly selected cases
8 from that. And at this time that auditor has
9 then looked at eight more. So we had 20 cases,
10 20 audits, to look at for today's meeting.
11 And in our review of these 20 audits that had
12 been accomplished, some of the things that
13 stood out were we saw presumptive cancers not
14 being recognized; partial compensation was not
15 awarded, which might have helped the veteran;
16 and excessive time delays. You can look at
17 page 2 of the report and there's a table there.
18 Some of the time delays were how long it took
19 to get to Jackson VARO, and also the amount of
20 time that it took to get to DTRA. Now there
21 were some other time delays, too, but those
22 were some of -- the two that stood out, with a
23 total time average of about 400 days.
24 Now if you look at this table, in the first
25 column I averaged the 20 audits. However, one

1 audit -- if you look at the min and max days,
2 one had -- took 1,700 and -- you know, days to
3 get to Jackson VARO. That particular case I
4 think was in one of the piles from 2005 that
5 was -- the sent back to DTRA, and then sent on
6 to Jackson VARO. So we decided to take that
7 out, so in the far right column we have taken
8 that one out and so it's the average days for
9 the rest of the 19 audits.

10 Now we know that they've added the virtual
11 private network between the two agencies,
12 between DTRA and VA. And you heard today that
13 that has definitely made an impact at the VA
14 Jackson VARO. And also Dr. Blake mentioned
15 that, you know, they've decreased the mailing
16 time, and also I think it's really increased
17 the communication between the two, and
18 understanding of what's important for the
19 veteran. So the next ten claims we're holding
20 off on because we'd like to see how this VPN
21 has impacted the time delays and has that made
22 a big difference. So we're going to have those
23 ten more audits done that have been completed
24 in August of 2009 or after, and we'll probably
25 ask DTRA to provide some of those audits.

1 Another thing about the -- of the 20 audits,
2 the last eight audits were also full RDAs.
3 They had at least one condition that was a full
4 RDA, which could add to the time. The first 12
5 were not.

6 Note that the shortest presumptive case took
7 154 days, and the shortest expedited case took
8 90 days -- of the ones that we saw.

9 Some of the comments that I want to focus on
10 that we saw from the audits -- we're concerned
11 that the veteran is not always aware of other
12 compensation programs available to them. We
13 had a particular case where the veteran was
14 awarded, so they were -- it was compensable to
15 zero percent for a presumptive, bladder cancer.
16 So their bladder cancer had been resolved so
17 they got zero percent. However, through RECA
18 this individual would be able to get the
19 \$75,000, but they would still be able to get
20 the medical benefit through the VA. So somehow
21 -- how do we get that information to the
22 veteran?

23 At the very least we'd like to see copies of
24 the brochure go out in the first mailing to the
25 veteran from Jackson VARO, because it talks

1 about the other compensation programs there.
2 We also saw that the letters to the veteran
3 from the VA and DTRA -- and we know that some
4 of them have legalese in them, though -- are
5 not easily understood, and we wish that SC-4
6 would look at those letters and try to improve
7 the level of understanding, to include what Dr.
8 Zimble mentioned earlier about the
9 understanding of the dose, below -- you know,
10 not more than -- if it's an expedited dose
11 they're giving, if it's a calculated dose. We
12 don't think that that's very understandable for
13 the veteran. And also especially from a risk
14 communication point of view, would be very
15 helpful.

16 We talked -- because of some of these time
17 delays, we talked about that additional
18 refresher training is needed by Jackson VARO on
19 awarding partial compensation. You may ask how
20 does that make a difference. Well, if a
21 veteran has filed for three different types of
22 issues, if it's a presumptive, they're -- as
23 you know, there's not much they really have --
24 information they have to get. They have to get
25 from DTRA that they were atomic veteran, and

1 that rating officer can actually award that
2 right away. How does that help the veteran?
3 Well, the veteran can then be treated at the VA
4 much more quickly than if they wait for the
5 other issues to be resolved, so they can get
6 partial -- a partial compensation earlier and
7 then have the rest of the issues resolved. So
8 we'd like refresher training at Jackson VARO to
9 include that.

10 And also you can see it took a long time to get
11 these cases to Jackson VARO. Now sometimes --
12 in a couple of these cases the individual VARO
13 worked up the claim. Now according to the VA's
14 regulations, or their guidance, it's supposed
15 to be done at Jackson VARO. So it should be
16 sent to Jackson VARO, you know, immediately as
17 they see a radiation claim, and that's where it
18 should be then worked -- the claim development
19 should occur at Jackson VARO, which makes sense
20 because now they are the trained experts in the
21 radiation area and it should go more smoothly.
22 Going on to the recommendations, we have then
23 three recommendations, and I'll go ahead and
24 read those so I don't get those incorrect.
25 The first response to a veteran claim from the

1 VARO, or the Jackson centralized office, should
2 include the letter of consent allowing the
3 veterans to be enrolled in the IRR. This
4 letter of consent should state the benefits to
5 the veteran from their enrollment in the IRR.
6 We also recommend the Board ask Subcommittee 4
7 to draft a letter of consent.

8 Our second recommendation, that Section B and C
9 of the VA MR21 -- and hopefully I got that
10 lingo right. Maybe you can correct it.

11 **MR. FLOHR:** Actually M21-1MR.

12 **DR. SWENSON:** Okay, maybe Tom Bell can get
13 that. Okay, thank you.

14 That should be updated to include the expedited
15 process for skin cancer and prostate cancer.
16 They have already included the centralization
17 to Jackson VARO, but now that DTRA is going to
18 put that in their regulations on how they do
19 the expedited doses, we think it's time that
20 that be incorporated into their procedure
21 manual at the VA.

22 We also would like a focused STAR audit to be
23 performed in April 2010 at the Jackson RO, and
24 it should be for the year March to March to
25 reflect the improvements that I think the VPN

1 has made, because from my understanding the VPN
2 really was up and running by March -- is that
3 correct, of this year?

4 **DR. BLAKE:** I think that's fair. We actually
5 had physical connec-- we had physical
6 connectivity before that, but it took a little
7 while for us to just start moving the files
8 back appropriately, so I think -- it was
9 certainly up and running by March, if not
10 sooner.

11 **DR. SWENSON:** Okay. So we ask that the VA then
12 look -- do another focused STAR report, and I
13 also think that that timing of that would be
14 good for the Board, too, before we, you know,
15 move on to our -- however the -- the future of
16 the Board is going to be, 'cause they've done
17 one other focused STAR report, I think
18 initially when it moved to Jackson VARO, and
19 then this would show how Ja-- how that has made
20 improvement, so that would be great.

21 And then also the future role, we think that
22 the independent audits of Jackson VARO claims
23 should be -- continue at this time, but the SC-
24 3 recommendation, which you will soon hear --
25 we support that, to prepare the quarterly

1 reports with corrective actions and that the
2 VBDR should move away from individual auditing
3 of a claims to reviewing this content and the
4 reports from this quarterly meeting.

5 **VICE ADMIRAL ZIMBLE:** Thank you very much, Dr.
6 Swenson. I would like to make one suggestion.
7 You made some, I think, very important
8 observations. The committee decided that we
9 would make these observations, one regarding a
10 little bit more information regarding RECA for
11 the individuals with presumptive cancers that
12 they don't -- that get some -- get zero or some
13 percent compensation for the disability, that
14 they at least be apprised of that as part of
15 the award process, and that in the case of
16 multiple elements to a claim, we made the
17 observation that a partial award be made for
18 the presumptive cancer -- presumptive condition
19 as soon as possible rather than await the final
20 adjudication of the entire claim.

21 And I'm suggesting that maybe a fourth
22 recommendation might be to ask the agency to
23 take cognizance of those observations and --
24 and perhaps make a modification to their
25 procedure to accommodate those observations.

1 **DR. SWENSON:** Okay. From nodding of the heads
2 of our committee, I think that would be very
3 good. And to give it -- put it in the hands of
4 the VA of how they might want to --

5 **VICE ADMIRAL ZIMBLE:** Give the VA the option to
6 decide how best to modify their procedure, but
7 at least to be aware of those -- to please
8 review those observations and see if they can't
9 modify the procedures to accommodate them. I
10 thi-- I just think that we ought to make that
11 more formal.

12 **DR. SWENSON:** I agree.

13 **VICE ADMIRAL ZIMBLE:** Okay. Any other
14 comments? I'm looking around.
15 All right, not hearing any objection, we could
16 -- we approve the recommendations. Thank you
17 very much.

**A REPORT FROM SUBCOMMITTEE 3 ON QUALITY MANAGEMENT
AND VA PROCESS INTEGRATION WITH DTRA NUCLEAR TEST
PERSONNEL REVIEW PROGRAM**

18 Let's move on to -- to Subcommittee 3, Dr.
19 Reimann.

20 **DR. REIMANN:** This being Washington, much of
21 our report has already been leaked -- and we
22 did a fair bit of that ourselves -- and so I
23 hope to capitalize on that by being more
24 targeted and so on.

1 So let me start from about 30,000 feet here and
2 reiterate our role. It's to review all aspects
3 of quality management in both dose
4 reconstruction and claims adjudication for both
5 agencies, so obviously we are critically tied
6 to all of those bodies, and this has worked
7 extremely well. So we are greatly dependent on
8 and appreciative of the reports already made
9 and the comments by the agencies.

10 Another -- and -- and also by the way we -- we
11 make parallel recommendations that relate to
12 the strengthening of the quality management
13 system, and we heard reports from both agencies
14 this morning that -- that highlighted
15 particular aspects of the quality management
16 system.

17 And by virtue of the -- of the role and the
18 inherently integrating nature of what we do,
19 and I think the much better integration across
20 the agencies, another role we play is to try to
21 focus on that quality management being as much
22 of a bridge between the agencies, where and how
23 appropriate, that enhances both of their roles.
24 So that's -- that's, I think, a very, very
25 important part that you'll hear played out

1 today.

2 Now usually we just start our report by talking

3 about observations on NTPR and observations on

4 VA, but I -- this time we took a slightly

5 different tack. We started with a general

6 observation and I'll -- I'll confess to having

7 been slightly lukewarm about the history

8 project early this year until I got my head

9 into it and had a dialogue with members of our

10 subcommittee -- Dr. Lathrop and Dr. McCurdy,

11 who could not be here, and Dr. Swenson, a

12 former member of our committee. But looking at

13 that, I think that we reached a stage of what I

14 would call taking stock of where we are, and to

15 try to frame where we're going in a much more

16 deliberative and much more focused way.

17 We want to see the agencies have some kind of a

18 self-sustaining high quality where -- which can

19 demonstrate routine quality of -- of output.

20 Part of that taking stock, we've talked often

21 about the histor-- future of VBDR and we know

22 that, from our estimates this morning, we're

23 likely to see a program of this type go on for

24 at least 20 years. As a Hoover baby, I don't

25 see myself sticking that out the whole time,

1 but VBDR is not likely to be forever and DoD
2 and VA staff, the great staff we see here, are
3 likely to be replaced. And we would hope that
4 whatever it is that we build that's sustaining
5 would be like a flywheel to carry them forward.
6 So in terms of looking at the future we really
7 have to shape that in as best a way we can, so
8 we've tried to look at this routinely
9 demonstrating quality output as meaning the
10 day-to-day output as assessed by SC-1 and SC-2
11 audits, and by agency data, reveal few
12 consequential errors, timely response to
13 veterans, and clear indication of ongoing
14 improvement. That's a very, very important
15 thing because that doesn't just mean correcting
16 things that you find wrong today, but looking
17 in patterns of data and so forth to improve the
18 -- the performance. So even if, as we've seen,
19 the response time has improved dramatically,
20 there's every reason to believe that it will
21 improve some more.

22 So in effect what we're looking at here is that
23 the agencies are very good and getting better,
24 and so our role is to try to create those
25 systems.

1 I'll minimize the conte-- the content regarding
2 our observations on the two agencies, just
3 touch on a couple of points that -- the
4 progress in documentation Harold mentioned is -
5 - has moved along very well. Tremendous
6 progress in reducing backlogs, for all the
7 right reasons and with all of the texture in
8 the way things are coming together that I think
9 should be encouraging that this will continue.
10 The use of the DSS, I think -- even the
11 discussion this morning, even with a little
12 humor attached to it, I think brought out some
13 very, very important facets to it. For
14 example, if you see it as decision support, you
15 also see that as a very important device in
16 training. Whereas if you see it as a decision
17 summary, it's almost an afterthought. And so I
18 think that by bringing out its fuller meaning
19 we gain something.

20 The double-blind studies, initially we proposed
21 that -- years ago -- when there were many RDAs
22 being done, and now the double-blind, as we
23 conceived it, wouldn't make as much sense. But
24 instead it has moved very nicely from the way
25 it was originally intended to backing up into

1 the SOPs and becoming a kind of tear-down
2 lessons learned, which is a very, very
3 important part of -- of quality. So the
4 lessons learned from those are one of the major
5 sources of both corrective action and future
6 improvement.

7 So we see the -- the continuation of the
8 quality system maturity. We feel that probably
9 there needs to be some continuity that pushes
10 us past the point of being let's say more
11 heavily reactive to problems to more heavily
12 preventive. But this is a natural stage in the
13 progression. You've got to go through this
14 stage of being reactive. Our role is to make
15 sure that it moves past that being say merely
16 reactive to being more preventive.

17 And the same thing goes for -- for the
18 observations on the -- on the VA side. We feel
19 that the STAR system is a very powerful system.
20 We are a little concerned about how far down in
21 the day-to-day operations of a VARO this
22 actually can penetrate. And when you look at
23 the tremendous support coming from the audits
24 that SC-2 does, it's a snapshot on how well
25 that's working and how much more needs to be

1 done to -- to create some of the day-to-day
2 operating processes in the agency, with perhaps
3 some decision support sheet or decision summary
4 sheets that -- that tackle the major
5 bottlenecks and correct problems, and also seek
6 opportunities for improvement. So we see that
7 we -- we have a role there in helping VA bridge
8 between its STAR system, which is high level
9 and affects all of the VARO -- all of the
10 Regional Offices, and all of the veterans, the
11 3,000,000 veterans -- and I guess more than
12 that -- served. So we see that as a -- as a
13 major challenge.

14 So now if we look at where we're going, we had
15 proposed -- largely based on that sort of
16 reflections on history and trying to capitalize
17 and focus on where we are. We're proposing
18 something we're calling a Quality -- Quarterly
19 Quality Report that really -- it needs to be
20 designed. It needs to be designed in
21 cooperation not only with -- within VBDR, but
22 with the agencies, because if it's going to
23 serve them, they have to -- they have to buy
24 onto it. We would hope that this would capture
25 all of the quality-related actions, all of the

1 quality-related data, the tear-down of any
2 corrective action to indicate what's happened
3 as a result of audits -- not only how did you
4 correct what you saw in that audit, but how did
5 you change processes and systems so that those
6 kinds of problems don't recur; and the
7 establishment of metrics that would allow the
8 agencies to -- and their managers, by the way,
9 to see a snapshot and then, through trends, a
10 moving picture of how the whole thing is going.
11 So if you have a scoreboard and you know what
12 the game is, the transition from one group to
13 another is a whole lot clearer and simpler, and
14 you can see if you're -- if you're actually
15 gaining on quality or if you're stumbling back.
16 So we see that we have to work with -- among
17 ourselves within VBDR in close cooperation and
18 with the agencies to -- to try to get a
19 quarterly report that's focused on the most
20 important factors -- most important factors
21 will be those that occur in audits -- that are
22 generalized to the problems that they reflect
23 and where not only the report includes not only
24 the corrective action on those things, but the
25 fixes in the processes and systems that will

1 then prevent those things from happening in the
2 future. And hopefully then, by looking at
3 trends over a period of years or something --
4 certainly a year -- one would get a good
5 picture of what's happening. This would be
6 dynamic in the sense that it's -- it all -- it
7 demonstrates improvement -- sometimes it
8 demonstrates so much improvement that you can
9 safely say certain types of problems have
10 vanished completely and now you've got some new
11 things, maybe based on factors that come in in
12 unique cases, that you need to look at. So
13 it's -- it's dynamic. I don't think it's --
14 it's certainly not mindless. It -- it can be
15 fairly simple in the sense that you can put it
16 on one sheet of paper, but it's not something
17 that you could just look at and say that -- you
18 know, that it's -- it's so self-sustaining that
19 you wouldn't have to worry about it or -- or to
20 have some kind of an auditing process to -- to
21 make sure that it's -- it really is operating
22 as -- as advertised.

23 So that's the way we see it going, and so you
24 see it's really tied in with what we see as the
25 future as VBDR which is, in some sense, an

1 imponderable because there are so many factors
2 related to the life of a VBDR that we're not in
3 control of. But something that we have a lot
4 to say about would be what is it that we would
5 want to turn over so that whatever happens we
6 would say, you know, this is -- this is the way
7 it -- this is the way it should be.

8 So okay, on that note, I'll shut up.

9 **VICE ADMIRAL ZIMBLE:** All right. Dr. Reimann,
10 do you have any -- did you plan to make any
11 formal recommendations?

12 **DR. REIMANN:** Yes, the -- no, not -- no formal
13 recommendations. We see the -- we see these
14 comments that we've made as entirely within
15 recommendations we've made in the past.

16 **VICE ADMIRAL ZIMBLE:** Right.

17 **DR. REIMANN:** So I think we -- I think we could
18 argue that what we're doing is -- is clarifying
19 -- based on where we are and where we think we
20 need to go, we're clarifying recommendations
21 previously made.

22 **VICE ADMIRAL ZIMBLE:** Did we make a
23 recommendation to the Veterans Administration
24 regarding the use of the QQR? I don't think we
25 formally made such a recommendation.

1 **DR. REIMANN:** No, but I think that we've had --
2 we have language sufficiently general that this
3 could be regarded as almost as -- almost as a
4 special case.

5 **VICE ADMIRAL ZIMBLE:** Okay.

6 **DR. REIMANN:** And if not, I certainly would
7 stand corrected and not be in any way opposed
8 to it, but somehow I -- I somehow felt that
9 this was close enough to the general language
10 that we've submitted in the past regarding
11 metrics and -- and a -- and a kind of
12 scoreboard that this is just giving that
13 scoreboard a name. So you know, I'll stand
14 corrected --

15 **VICE ADMIRAL ZIMBLE:** Well, I just wonder if
16 perhaps asking the two agencies to jointly work
17 on QQRs that will best integrate so that we can
18 -- we can watch the progress of quality
19 management from both agencies contributing to
20 the whole process. I leave it to someone else
21 to do the word-smithing, but it seems to me
22 that it might be wise for us to make a formal
23 recommendation regarding the establishment of
24 bona fide QQRs from both agencies that we can
25 audit, something along those lines.

1 **DR. REIMANN:** Yeah, I mean I -- I have no -- I
2 have no problem with that. I thought we were
3 trying to avoid new recommendations that would
4 take -- that might be seen by let's say the
5 agency higher-ups and so forth as oops, you
6 know, that -- now this is something totally
7 different. I think it's more of a
8 manifestation of what we've -- what we've said
9 before, and the nature -- even -- even the
10 cooperation that I'm talking about here is not
11 like this is a new discovery. It's already
12 taking place.

13 **VICE ADMIRAL ZIMBLE:** Okay.

14 **DR. REIMANN:** So the question is can you give
15 some-- can you give something enough of a
16 texture and an approach where VBDR is basically
17 the broker where most of the work is
18 individually with the agencies but there are
19 cross-links, where appropriate. In other
20 words, we're not trying to invent problems --
21 agency/agency problems if that is -- if that
22 turns out to be an impediment and not a value
23 added.

24 **VICE ADMIRAL ZIMBLE:** Okay.

25 **DR. REIMANN:** And we feel that with quarterly

1 meetings already conducted where -- where the
2 agencies themselves are -- are talking, this
3 would be the natural vehicle for them to
4 themselves identify areas in their relationship
5 and in the process of doing work where some
6 kind of measure and some kind of correction --
7 corrective action is needed, and all -- we're
8 serving basically as a -- as a broker for that
9 and trying to put it in -- in terms that are as
10 much -- as much consistent as we can make them.
11 But I mean that's -- I mean it's still taking -
12 - it's still taking shape because of the nature
13 -- I mean even this meeting, there was a lot of
14 good, frank discussion in the meetings
15 yesterday and so on, and there's more today, so
16 we don't want to push the thing beyond where it
17 already is, and so I felt that -- I personally
18 feel -- I know John, you might have a different
19 view, but -- that the language we've already
20 used is pretty permissive.

21 **DR. LATHROP:** John is -- John is about to
22 speak.

23 **VICE ADMIRAL ZIMBLE:** Dr. Fleming.

24 **DR. FLEMING:** Thank you. This is somewhat
25 related. This is actually the first time that

1 I am hearing the recommendation of a Decision
2 Summary or a Decision Summary Support Sheet be
3 created for the V-- for the VA processing,
4 unless I've been asleep at the SC-2 committee
5 meetings. I think that's a very interesting
6 idea and I note here that you're -- SC-3 is
7 willing to work with the VA to specify what
8 both the DSSs and the QQRs should cover. But
9 -- but I'd like to learn just a little bit more
10 from you about what that will look like for the
11 VA.

12 **DR. REIMANN:** John, can I defer to you on that,
13 because I thought that we've had -- I know that
14 you did a gap analysis and so forth on all the
15 recommendations, and I thought that in our
16 hopper in the past we've had -- have had
17 recommendations -- in our quality
18 recommendations to VA, we have used that DSS
19 language. Is that --

20 **DR. FLEMING:** And before John answers that,
21 which I appreciate your answer, John, so you'll
22 get to talk in a minute. But I also just
23 wanted to make a -- just a real simple
24 procedural point that in SC-2's report we
25 mention SC-3's recommendation. So following

1 Admiral Zimble, if there's no recommendation in
2 SC-3, we're going to have to rescind the
3 committee's acceptance of SC-2, so -- just a
4 minor procedural point.

5 John, the DSS?

6 **VICE ADMIRAL ZIMBLE:** My esteemed colleague,
7 Dr. Lathrop.

8 **DR. LATHROP:** Thank you for the privilege of
9 speaking before the Board, Mr. Chair. To
10 answer two questions, the DSS for the VA -- I
11 think a lot of us around this table know the
12 tactic I'm about to take, which is we actually
13 don't know the best form of the DSS for the VA
14 yet, and what we would propose is working with
15 SC-2 and the VA to develop a Decision Support
16 Summary -- whatever -- Sheet. It would not --
17 it might actually look not a lot like the one
18 for NTPR 'cause the decisions and the processes
19 are quite different. All we will assure you,
20 with some perhaps unfounded confidence, is that
21 Curt and I will be able to develop a DSS, not
22 at all in isolation but very much in close
23 cooperation with SC-2 and our esteemed friends
24 from within the VA. So that's answer number
25 one.

1 Answer number two, or point number two, is I
2 would propose -- although actually Curt and I,
3 in an ideal world, would have conferred about
4 this before being in front of the Board, but
5 you know, what else is new -- that -- I would
6 suggest to Curt over there that SC-3 do make a
7 proposal, and the proposal would simply be a
8 rewording of all but the first two triangular
9 bullets at the end of our report, and I can
10 almost read those, and it wouldn't take much
11 word-word-smithing.

12 And it is in fact: SC-3 now proposes parallel
13 QQRs from VA and NTPR to clarify and accelerate
14 full deployment, in addition to a DSS from both
15 VA and NTPR, for each case they look at; call
16 for closer sorts of integration between SC-3
17 and SC-2 and SC-2, including a joint design,
18 with the agencies, of the QQRs; particularly,
19 QQRs would focus on quality indicator metrics;
20 the QQRs would report not only quality data and
21 trends, but also would present how past
22 feedback are built into the process; notes a
23 parallel system of QQRs for the two agencies
24 provide a consistent technical basis for better
25 communication, coordination and integration of

1 the services to atomic veterans; and an
2 important part of strengthening this would be
3 the cross-agency cooperation in developing
4 reinforcing quality metrics.

5 It pains me to admit this, but I think all
6 those words came from Curt. But I -- I'm
7 serious that we can wordsmith those -- all but
8 the first two bullets into a recommendation,
9 and I think that's close enough for us to
10 consider presenting before the Board.

11 What do you think, Curt?

12 **VICE ADMIRAL ZIMBLE:** John -- hold it. John,
13 are you going to make that a motion?

14 **DR. LATHROP:** Yes, I -- I move that all but the
15 la-- all but the first two bullets of the
16 report --

17 **VICE ADMIRAL ZIMBLE:** Become a formal
18 recommendation.

19 **DR. LATHROP:** -- become a formal
20 recommendation.

21 **VICE ADMIRAL ZIMBLE:** Okay, and do I hear a
22 second to that?

23 **MR. BECK:** Second.

24 **VICE ADMIRAL ZIMBLE:** Thank you. All in favor?

25 (Affirmative responses)

1 Okay, any opposed?

2 (No responses)

3 So carried.

4 **DR. LATHROP:** Good, and Curt and I will get
5 together and wordsmith it.

6 **VICE ADMIRAL ZIMBLE:** Okay, that's good.

7 **DR. LATHROP:** I mean he -- he started out
8 wording all the words --

9 **VICE ADMIRAL ZIMBLE:** Yeah, right. Okay. All
10 right. Well, I -- I think that -- I think
11 that's an excellent motion and I'm glad that
12 that's been made into a recommendation, and I
13 think it should be carried forward.

14 Okay, now, it's -- any other comments or
15 questions regar-- yes, Dr. Swenson.

16 **UNIDENTIFIED:** (Off microphone)

17 (Unintelligible)

18 **VICE ADMIRAL ZIMBLE:** Are you going to protest?
19 If you're going to protest I won't let -- okay,
20 then you can speak.

21 **MR. FLOHR:** No, I just wanted to -- to just
22 make a comment about our quality assurance
23 programs and workload measurements and
24 processes, and if you all know this, then
25 excuse me. But in addition to STAR -- the

1 quality assurance we do through STAR -- that's
2 really a snapshot of workload at a given time
3 in a given office, and we're increasing the
4 number of cases that we review in STAR on a
5 monthly basis from each Regional Office. We've
6 also implemented a consistency review, at the
7 urging of our stakeholders, many of them.
8 But in addition to that, every three years we
9 go to each Regional Office. Every Regional
10 Office is visited at least every three years.
11 We do a top to bottom review of the work
12 they're doing, look at what they're required to
13 do, are they doing it, what do they need to do
14 if they're not doing it. And we look at the
15 processes to see if we can provide them with
16 guidance and help on improving their processes,
17 so each three years the radiation activity in
18 Jackson VARO will be reviewed top to bottom to
19 look to see how we can improve what we're doing
20 there.

21 In addition to that, there are four area
22 offices. Every area office has a certain
23 number of Regional Office underneath that
24 office, and the area offices, they also go on
25 at least a yearly basis to each of their

1 offices and do pretty much the same thing --
2 not maybe quite as in-depth as what C&P does,
3 but very detailed -- to look at their process
4 and how they can improve it.

5 So it's not just STAR. STAR is a big quality
6 exercise, but overall we're looking at how we
7 can improve things constantly through various
8 means.

9 **VICE ADMIRAL ZIMBLE:** Thank you for that. I --
10 the -- this recommendation, by the way, is
11 really something that would be laying on just
12 the Jackson RO, and we hope -- it's certainly
13 not our intent that we're offering something
14 that would be an impediment to -- to progress.
15 And if so, if there is a real problem in
16 implementing that, we would appreciate the
17 feedback. Otherwise, we think it would be
18 helpful that -- for that process to go on.

19 **MR. FLOHR:** We'll certainly be glad to look at
20 it.

21 **VICE ADMIRAL ZIMBLE:** Okay. Dr. La-- Dr.
22 Swenson has a comment, Dr. Baker (sic), but
23 it's -- this related? Yeah.

24 **DR. LATHROP:** Yeah, in direct response, thank
25 you, Brad, for that. And very much appreciate

1 knowing there -- those other steps besides
2 STAR. One of the things we wrestled with in
3 SC-3 is appropriate QM for the VA, given that
4 only about half-percent of your cases involve
5 atomic veterans. We're very aware of the -- of
6 any problems that would be involved. In fact,
7 frankly, it just wouldn't make a lot of sense
8 for us to suggest improvements in the overall
9 VA QA/QM process for a half-percent. So in
10 fact we have some language in the report, just
11 above the words "Future of VBDR": Because
12 atomic veterans' claims are uniquely complex
13 compared to typical claims -- that might be
14 arguable, but I think it's generally true --
15 because they're all handled at Jackson VARO, we
16 do feel it would be appropriate and helpful to
17 suggest the DSS and QQR concepts, only for
18 atomic vets, specifically to avoid the problems
19 of trying to mix something in with the actually
20 quite -- quite awesome process the VA has with
21 your 57 VAROs and your case load, which is 200
22 times greater than atomic veterans. So this
23 would be tailored to just atomic veterans and
24 Jackson VARO, and specifically for those good
25 reasons I just said.

1 **MR. FLOHR:** Thank you for your comments. They
2 are complex cases, but I would also pose to you
3 have you tried rating a TBI case lately?

4 **DR. LATHROP:** Thank goodness, no.

5 **VICE ADMIRAL ZIMBLE:** Dr. Swenson.

6 **DR. SWENSON:** Well, I hate to say this, but the
7 letter that we complained about that is very
8 confusing to the veteran -- and Brad, give me
9 input on this -- I think by law it has to give
10 all the decisions and ev-- all the input that
11 the rating officer -- information he had to
12 make the decision. So it's almost a decision
13 summary letter from -- you know, where -- I'm
14 complaining 'cause there's so much information
15 for the veteran, but it almost covers all --
16 everything that they -- is that...

17 **MR. FLOHR:** Yes, the -- the actual -- the
18 rating decision has an evidence portion where
19 everything that's considered in the decision is
20 listed and that -- that rating decision
21 actually goes as part of the notification to
22 the claimant so they can see exactly what we
23 considered and all our reasons for the decision
24 we made are in the decision as well.

25 **DR. SWENSON:** My second question is, when the

1 rating is done by the rating office does anyone
2 review it before it goes out?

3 **MR. FLOHR:** As a general rule, the majority of
4 our rating specialists are single-signature.
5 They've demonstrated quality levels that are
6 sufficient to -- to allow them to do work
7 without review of someone else. Newer rating
8 specialists have a two-signature requirement;
9 someone else does review them. But -- but
10 local offices do do a limited amount of review
11 of each rating specialist per month also, yes.

12 **VICE ADMIRAL ZIMBLE:** Yeah, I'd like to make
13 one comment. I happened to look at that -- one
14 of those letters yesterday. It is one heck of
15 a letter, let me tell you. It is many pages
16 long. It approaches a novel in size. It is
17 difficult to understand. It is a soporific, at
18 best. It is required, legislatively, but I say
19 that it would be -- it would really hinder good
20 communication. It's a good piece of paper for
21 someone to give to their lawyer to review and
22 assist in an appeal, if necessary. But it
23 really is far more information in far more
24 depth than what the claimant really needs. And
25 I would suggest to SC-4 to look at that letter

1 and see whether or not we might suggest a cover
2 letter that -- this might be an enclosure to a
3 cover letter, a cover letter with very simply
4 states that the decision is such-and-such and -
5 - and you have a very detailed explanation, if
6 you care to read it. But understand that it
7 was given due deliberation and consideration,
8 and this is the finding. I think the claimant
9 really wants to know what you decided. And
10 when you go through all the evidence base --
11 you know, it's just like a trial. We want to
12 know what the jury says, okay? I don't need
13 the whole transcript, I -- just tell me what
14 the -- what -- was it innocent or guilty? So
15 they want to know is it going to be denied or
16 is it going to be awarded.
17 And I can't believe that we can't work with the
18 law and create something that is good
19 communication to the veteran. So that's -- I
20 think a -- my challenge, the Chair's challenge
21 to SC-4.

22 Dr. Lathrop, you have another comment?

23 **DR. LATHROP:** Yes, thank you for the challenge.
24 It's well put and well accepted. We've been
25 whispering to each other here about gee, we're

1 going to be -- be doing this.

2 Very good points from both of you. I just want
3 to say it's not a ques-- from all three of you.
4 It's not a question of the content of the
5 letter. It's a question of two things, both of
6 which have been touched one. One is clarity of
7 the communication to the veteran, which would
8 follow on your very good idea of a cover
9 letter. And the other, from the DSS point of
10 view, a standardization into particular data
11 fields, which Dr. Blake can quite relate to
12 'cause he -- his DSSs look like that,
13 standardization of particular data fields so an
14 outside person not given to wading through many
15 pages of the prose can look and say this is
16 what was done.

17 And by the way, none of this would be to the
18 exclusion of the letter. My guess -- the VA
19 has sort of a shock and awe legal department,
20 and they probably would have great hesitation
21 on doing anything to -- to delete or shorten
22 the letter. That's fine, you'll just have the
23 cover sheet which says, as you said, the
24 verdict and maybe briefly why. And maybe,
25 either on that first sheet or on a second

1 sheet, a DSS thing very related to what Dr.
2 Blake's has. And then the rest of it is an
3 appendix with all the good stuff. That's just
4 thinking off the top of our heads, but that's
5 how.

6 **VICE ADMIRAL ZIMBLE:** Dr. Reimann.

7 **DR. REIMANN:** Yeah, I wanted to add my thanks
8 to John's for -- for Brad's comments.
9 And just here we have I think a great
10 opportunity to get the next round of work done
11 on what we're talking about. One is that two
12 other types of review were mentioned here that
13 -- I think that, from -- from listening
14 yesterday, I got that -- that feeling that
15 perhaps there were some things happening in the
16 QA system that we probably had not properly
17 characterized.

18 But one thing that I believe is quite likely in
19 the work of the VAROs, and probably this one in
20 particular, that you probably have something
21 that already has DSS characteristics. And so
22 it wouldn't be good if -- if it sounds like
23 VBDR is trying to impose a distinction without
24 a difference. That would be, I think -- you
25 know, that would not be a good way to get us

1 going here. So it -- the idea of what it is is
2 really not what you call it, and so if in the
3 communications -- you know, we're not trying to
4 induce a name or an acronym, so that's very
5 important.

6 But the -- to try to build in the direction
7 we're all going here and how this ties into the
8 QQR, we would say okay, a couple of things.
9 One is, are there data that are associated with
10 those actions that you talked about, those two
11 other kinds of review, and are there corrective
12 action reports that could be factored in and
13 would be a big chunk of a QQR.

14 And then where you would really have an
15 opportunity here is in what way would your --
16 those two levels of review capitalize on the
17 findings of SC-2 so that you would already have
18 a much advanced snapshot on what's going on
19 that would, in effect, help you to know what to
20 look for and what the manifestations of that
21 might be. I think that that would enhance the
22 work.

23 And similarly, since the ongoing work of VA
24 would, by its nature, be much more extensive,
25 that would also provide information to SC-2

1 about the nature of the work. And so it would
2 put them and SC-3 itself in a much better
3 position to comment in ways that help
4 reinforce.

5 So I see a tremendous opportunity here, so by
6 learning this, it's not only good to hear that
7 it's happening, but I think there's a
8 tremendous opportunity here to turn this into
9 something better for everyone involved. So
10 again, thanks very much for that background.

11 **VICE ADMIRAL ZIMBLE:** Mr. Ritter.

12 **MR. RITTER:** I'd just like to add to what the
13 Admiral has said about simplicity and ease of
14 understanding. There are three frightening
15 moments in the life of an atomic veteran. The
16 first one is when he's there, when it happens.
17 And the second one is when he files a claim,
18 he's got a half a pound of paperwork to look
19 through to give the VA what they're wanting to
20 -- or what they need, rather, to get the
21 process moving.

22 And the third one is when he gets the letter
23 saying he's either accepted or rejected, and
24 he's got another mountain of paperwork that
25 scares the dickens out of him 'cause he can't

1 understand what he's reading.

2 **VICE ADMIRAL ZIMBLE:** Dr. Blake.

3 **DR. BLAKE:** Just so I understand this
4 recommendation, previously -- and I'll state
5 again for the record that I've promised to get
6 back with SC-3 within three weeks on DTRA's --
7 NTPR program's -- recommendations for the
8 Quarterly Quality Reports. We're already part-
9 way there since we're already giving
10 submissions on digital -- Decision Summary
11 Sheets, or the new name for them. But the --
12 in fact, we submitted ours yesterday to VBDR
13 SC-3 on that, but is the recommen-- is the
14 recommendation going to include both DTRA and
15 VA or is it just for VA? I don't understand
16 exactly how the recommendation's coming.

17 **DR. LATHROP:** Now the recommendation does
18 include both agencies, with a full
19 acknowledgment that you're already four-fifths
20 of the way there. You, Dr. Blake, are four-
21 fifths of the way there.

22 **VICE ADMIRAL ZIMBLE:** Yeah, my suggestion would
23 be -- I agree. My suggestion would be that you
24 share what you've accomplished with VA, so --
25 with Jackson VARO, specifically. You can use

1 your little virtual network to do that. But to
2 make sure that what they -- what they come up
3 with is something that can work in parallel
4 with yours so that ultimately we can take a
5 view of the entire process as it's handed back
6 and forth between the two agencies. Okay? So
7 I sure don't want to make you start from
8 scratch.

9 **DR. BLAKE:** No.

10 **VICE ADMIRAL ZIMBLE:** But what I would like to
11 do is to make sure that what VA does is -- is
12 going to -- is going to work, it's going to be
13 synchronized with what you're doing.

14 **DR. LATHROP:** And just to tweak on that, there
15 is no intention here to have any additional
16 work for you, Dr. Blake. You're pretty much --
17 what you've agreed to already is -- is the same
18 as agreeing to the recommendation we've just
19 made. And a little tweak to what the Admiral
20 said, the coordination between the two is
21 something that SC-3 will be doing, as opposed
22 to asking you to -- to match up with the VA.
23 Curt and I, and Dave McCurdy, will be talking
24 about how -- how to coordinate it, but the
25 coordination is a role for SC-3.

1 **VICE ADMIRAL ZIMBLE:** Okay, very good. Thank
2 you.

3 Curt, you have something more?

4 **DR. REIMANN:** Oh, I'm sorry -- no.

5 **VICE ADMIRAL ZIMBLE:** Okay. Nothing more?

6 Okay, do -- and I think we've got approval for
7 this recommendation.

8 **VETERANS COMMUNICATION EFFORTS**
9 **A REPORT FROM SUBCOMMITTEE 4 ON COMMUNICATION AND**
10 **OUTREACH**

11 We're ready to move on now to Mr. Groves, and -
12 - Chairman of SC-4, and also presenting on a
13 topic that was to be delivered this morning.

14 **MR. GROVES:** Yes, thank you very much, Admiral.
15 And thank those of you in the audience who are
16 veterans and have spent a long day with us, and
17 hopefully you'll join us even after dinner
18 tonight for some additional deliberations,
19 mainly on the future of the Board.

20 I would like to just read some of what the
21 charter says our subcommittee does, and then I
22 think you will see that a number of
23 recommendations have been made -- I believe by
24 all three of the other subcommittees -- that
essentially ask our subcommittee to work with
them on helping to improve communications to

1 the veteran community.

2 So we are to develop a set of recommendations
3 on more efficient and effective communications
4 between the Department of Veterans Affairs, the
5 Defense Threat Reduction Agency, and the
6 veterans. And there are a number of
7 communication modes you've heard about.

8 But we also review the current mechanisms for
9 communicating with veterans about the mission,
10 procedures, requirements, decisions and
11 administration of the dose reconstruction
12 program, and I will talk a little bit about
13 what we are doing right now in meeting that
14 charge to our committee.

15 We coordinate communications and outreach
16 functions both internal, to the Veterans
17 Advisory Board -- and that takes the form of
18 helping the Board when we determine when and
19 where we're going to meet and the agenda for
20 those meetings -- and external, to the veterans
21 for public meetings. It is through our
22 subcommittee that, with the help -- tremendous
23 help through the Defense Threat Reduction
24 Agency's Office of Public Affairs that we put
25 ads in local newspapers when we're going to

1 meet. And we were pleasantly surprised at this
2 meeting, as a result of a meeting we had in
3 February with the VA, that Dr. Cassano took it
4 upon herself to take advantage of the Ionizing
5 Radiation Review newsletter mailing list and
6 sent a number -- thousands of postcards out
7 informing all of those veterans who are on that
8 registry that this meeting was going to take
9 place. And some of you received those and that
10 may be the basis for you being at the meeting.
11 And if it is, we think that that was another
12 successful way of announcing the meeting of
13 this organization because we certainly
14 appreciate you all being here and knowing what
15 is -- what we are all doing on behalf of a
16 grateful nation.

17 Our organization -- subcommittee had a meeting
18 in February, and I'm just going to paraphrase
19 what is in my report and then I will read
20 specifically some of the recommendations we
21 have.

22 We had a meeting in February -- or, pardon me,
23 in April at -- at the Veterans Administration,
24 and I want to thank Brad -- at the time, Tom
25 Pamperin offered his conference room and we

1 took advantage of that. Having our meetings
2 here in Washington really helps us because not
3 only do members of our subcommittee come
4 together, but when we do meet we invite and
5 have active participation from both the DTRA
6 public affairs and outreach folks, as well as
7 the outreach folks from the VA. And it
8 certainly helps them, for a one-day meeting,
9 not to have to travel, and so a couple of --
10 it's better for a couple of us to travel here
11 than for them to travel somewhere else, so it's
12 worked very well.

13 At that meeting there were a couple of very
14 important actions that took place. One of them
15 you've heard a number of times, the discussion
16 about the letter that is going out to a select
17 group of the atomic veterans' community, and it
18 is that subset of the community who received,
19 by virtue of what records we do have, the
20 highest doses. And essentially it is a group
21 of people, which is around 650 to 675, that
22 have doses that were estimated at greater than
23 five rem. And you heard from Dr. Blake that
24 they have that set of records, they culled
25 through that set of records, provided to the VA

1 the best information they had on where we might
2 reach that subset of veterans. Brad Flohr and
3 his organization generated the letter. We saw
4 the draft letter at our April meeting. We
5 provided some input, with Dr. Cassano's help --
6 to take advantage of the fact that this letter
7 was going out and made a recommendation, and it
8 was incorporated in the letter -- to discuss
9 the activities of the Ionizing Radiation Review
10 -- or Registry, because this group of people
11 not only might be eligible to file a claim, but
12 whether they had a claim or not, they -- as
13 being atomic veterans -- were eligible to
14 participate in the Ionizing Radiation Registry.
15 So we worked -- the three organizations worked
16 together to include that in the letter.
17 As you heard from Mr. Flohr, the letter is at
18 wherever it is that VA letters are mailed out.
19 I have a copy of that letter in my hand, and it
20 essentially does all the things that we had
21 hoped it would do. And again, it is going out
22 this week or -- or early next week to almost
23 700 veterans.
24 The other big discussion item we had at our
25 meeting in April had to do with the

1 distribution of the Ionizing Radiation Registry
2 newsletter. And it has been longer than any of
3 us would have liked for it to have been between
4 editions of the newsletter. I'm happy to say
5 that Dr. Cassano, who has had to leave, in
6 addition to all the other things she does in
7 the Veterans Health side of the house, as you
8 heard this morning, also has the oversight
9 responsibilities for the Ionizing Radiation
10 Registry. And she has committed and we are
11 working with her for there to be an edition of
12 that newsletter essentially as soon as this
13 meeting is over and we are able to give her an
14 updated article for that newsletter that would
15 discuss the outcome of tod-- of today's meeting
16 so that when that newsletter goes out within
17 the next few weeks or months it will be
18 completely up to date and provide information
19 of what we all learned here at this meeting.
20 So we're looking forward to there being an
21 edition of the newsletter that would go out,
22 again, to everybody that got one of those
23 postcards, is -- is the mailing list for the
24 Registry.
25 Now the other thing we learned as a result of

1 Dr. Cassano's mailing of that postcard is that
2 a number of those addresses in the Registry are
3 no longer the right address, which would be no
4 surprise to anybody that has a 23,000-person
5 mailing list that has been built over the last
6 six or seven or ten years, that a number of
7 those addresses are no longer current. So this
8 will also offer an opportunity to the VA to
9 update that mailing list in some way that they
10 can, and some of those people may -- it would
11 be no surprise, may no longer be available to
12 receive those.

13 And I'll get your question in just a minute.
14 Okay?

15 So anyway, we are particularly happy -- from
16 the communication and outreach subcommittee --
17 that we have active participation from the
18 Veterans Health side of the house. We've
19 always had that to a certain extent, not
20 anywhere near as much as we have with having
21 Dr. Cassano on board. We've always had
22 tremendous support from Tom Pamperin and Brad
23 Flohr and the Benefits side of the house, but
24 we recognize -- as I'm sure all of you do --
25 that the VA is more than just benefits.

1 There's the health administration group that
2 runs the hospitals, the clinics; has an active
3 role, as you've seen and heard today, in the
4 assisting the -- in the adjudication and the
5 awarding of claims. So it is refreshing for us
6 to have access to both sides of the VA house,
7 and we look forward to that as -- as we go
8 forward.

9 You've heard about the brochure, and we had a
10 number of them here and I hope that you've all
11 picked them up. There is, just for your
12 information, a little piece of paper in there
13 that corrects the phone number for the Ionizing
14 Radiation Registry because, as you heard this
15 morning, Dr. Cassano's office has recently
16 moved and they got some new phone numbers.
17 We've also heard from Dr. Swenson that this is
18 a useful tool in that it is a brief summary of
19 the program and all the elements in the
20 program, and we are -- we're looking forward to
21 whatever the most cost-effective method is to
22 make the brochure whole again with the right
23 telephone numbers. We also think that this
24 would be a useful communication tool to the
25 veterans by having these in all the clinics and

1 all the hospitals, so that veterans who --
2 again, as you've heard, we still think there's
3 a number of atomic veterans who don't know that
4 there's even a program that affects them. And
5 it's not like you can go knock on doors and ask
6 who's an atomic veteran.

7 I'm going to talk about what some of our future
8 activities are, and one of them is going to be
9 what -- what we would call a renewed atomic
10 veteran outreach campaign and which we
11 discussed extensively at our meeting yesterday.
12 For those of you in the audience, today is the
13 formal meeting of the Veterans Advisory Board.
14 Well, each of the subcommittees met yesterday.
15 And so part of that was it's nice when we all
16 come to town to get together and work on our
17 individual subcommittee actions, and then we
18 can -- I can talk to Subcommittee 1,
19 Subcommittee 2 can talk to Subcommittee 3, and
20 that really helps us set the stage for today's
21 meeting.

22 But in yesterday's meeting we had some
23 extensive discussions among ourselves about how
24 to foster this outreach campaign, and I think
25 we've all agreed that not only is it the

1 veterans who we're looking to reach to, but the
2 survivors who are eligible for the benefits.
3 And we also think, and it would be no surprise
4 to you, that with the age of that community --
5 I think you told us, Paul, that it's 82 -- 81
6 or 82 years old, the average age -- that it --
7 it's -- our most effective means of reaching
8 you may very well to be -- may be able through
9 reaching your children and your grandchildren
10 and your nieces and your nephews who are, you
11 know, surfing the web and reading YouTube and
12 doing all sorts of things, that they remember,
13 you know, their father, their grandfather,
14 their uncle talking about seeing the big blast
15 one day. So we're not focusing our
16 communication efforts on just the atomic
17 veterans themselves, but we're looking at their
18 -- their families and their offspring as a way
19 to get the word back up to the atomic veteran
20 who -- who may be difficult to reach in some of
21 these modern communication means. So it's a
22 widespread effort and we're looking forward to
23 support from both the VA and DTRA as we go
24 forward on that.
25 We are committed on -- in support of the other

1 committees to look at these different letters
2 and other communication means to work very hard
3 to make them as useful as they can be. And as
4 you have heard, there are legal requirements
5 that certain materials be in those documents.
6 But as you've also heard, we're certainly
7 prepared to write a very readable,
8 understandable summary that can be the first
9 page of some of those reports and some of that
10 information that's transmitted back and forth
11 between the -- the veterans from both DTRA and
12 -- and from the Veterans Administration.
13 We are looking forward to the response to the
14 letter that is going out to the greater-than-
15 five-rem group of atomic veterans. Based on
16 the response to that letter, we may be able to
17 gauge how well that method works as reaching
18 the veterans. And how many of those veterans,
19 on receiving that letter and being des-- and
20 having the program described, respond in terms
21 of either filing a claim or registering to get
22 on the Registry and other things. And I think
23 that that will lead us to make a
24 recommendation, or at least jointly a decision
25 with the VA, as to whether or not another

1 mailing to maybe a larger group that received a
2 lower dose. So again, I think -- we're
3 starting with the highest dose. We may have
4 information that comes out of the response to
5 that letter that guides us in the future to
6 additional outreaches via that mode.

7 I do think that we have two recommendations,
8 and I think that they're probably soft
9 recommendations in the sense that they -- they
10 probably are kind of emphasizing things we've
11 done in the past. One would be that we do see
12 the -- do see the continued value in having a
13 part of the Board that does work both with VA
14 and DTRA with the responsibility for -- for
15 outreach and communication to the veterans, and
16 we -- so I guess we would emphasize we see that
17 as something that we think would be very
18 worthwhile continuing to do. I also think that
19 we see the continued need for outreach and
20 communication, the value of face-to-face
21 subcommittee meetings. As I mentioned, we had
22 what I think was an excellent meeting in April
23 when we were able to not only have our
24 communication and outreach subcommittee, but
25 members of the VA and from DTRA join us to work

1 outreach issues. The other thing that happened
2 at that meeting is Dr. Swenson was there, Mr.
3 Ritter was there. We had an opportunity at
4 that time to -- and the Chair was there,
5 Admiral Zimble -- so we also had the
6 opportunity to talk about communication issues
7 that were important to the other subcommittees
8 of the -- of the Veterans Advisory Board. So I
9 think we would like to continue to do that;
10 that we would like to do that in a face-to-face
11 meeting, which I think is more valuable; and
12 the frequency of those meetings is somewhat
13 dependent on what we determine the frequency of
14 the overall Board meetings are going to be.
15 But we certainly think that, with what we are
16 wanting to do in terms of some additional
17 outreach activities, that the meeting of the
18 subcommittee at least twice a year would --
19 would be very useful.

20 So I guess I would ask if you wouldn't mind
21 putting the last slide of my presentation up, I
22 would -- I think it has a -- my -- my last
23 slide there says some things that I would
24 really like to -- to say for everybody and that
25 is my -- my final thought. And I -- and I will

1 read this because I think it is important and
2 it -- and it's something that I think we feel
3 in our heart.

4 There appears to be a commitment from both the
5 VA and DTRA, with support from the Veterans
6 Advisory Board, to work together to foster even
7 better communication and outreach efforts and
8 programs to inform the atomic veterans
9 community of issues concerning dose
10 reconstruction, compensation and medical care.
11 And I do appreciate the commitment from the two
12 agencies, working with the Board on these
13 critical issues.

14 Thank you, Mr. Chair.

15 **VICE ADMIRAL ZIMBLE:** Thank you very much, Ken.
16 That was a -- an excellent summary of your
17 activities and the challenges ahead. There's
18 no question in my mind that probably the
19 biggest challenge this Board has, by far, is
20 the challenge of outreach. One needs but just
21 look at the disparity between the original
22 488,000 atomic veterans and the current
23 estimate of 220,000 atomic veterans, the
24 disparity between that number and the number of
25 claims that have been filed, which certainly is

1 not representative of what we know to be the
2 prevalence of those diseases that are listed as
3 presumptive in a community -- in a cohort of
4 that age group. So something's wrong.
5 Something is -- is definitely not getting --
6 getting spread. The word is not getting out.
7 There's a benefit, and it's -- and it's -- it's
8 certainly not being seized by a number of
9 people that are deserving. So we do need to
10 concentrate on that.

11 And I don't gue-- I don't see where you have a
12 formal recommendation, except to ask for the
13 cooperation of both agencies to -- to assist
14 with the resources necessary to make
15 communications possible. And if you would like
16 to submit that as a formal recommendation, I
17 think we could get a consensus from the Board
18 to do so.

19 **MR. GROVES:** I actually think we have a -- a
20 previous recommendation that talks about us
21 working together on a communication plan and
22 outreach, so I think that this --

23 **VICE ADMIRAL ZIMBLE:** The -- the word -- the
24 real engine, the fuel for that engine that I
25 haven't heard, is the word "resource," and it

1 just might be -- behoove us to ask that -- that
2 there be some level of resources made available
3 specifically for outreach to the atomic
4 veterans. I -- I don't -- I'm not sure how the
5 agencies will react. I don't see cringes
6 coming from either side. But at any rate I
7 think it's worthwhile to articulate that we
8 can't do that without adequate resource.

9 **MR. GROVES:** I would -- I would be happy to put
10 our discussion of things we'd like to do in the
11 terms of a recommendation that includes a
12 request for resources from the two agencies and
13 --

14 **VICE ADMIRAL ZIMBLE:** Any objection from the --
15 from the membership?

16 **DR. BOICE:** I would add "the veteran and their
17 families."

18 **MR. GROVES:** Yes.

19 **VICE ADMIRAL ZIMBLE:** All right.

20 **MR. GROVES:** And it is -- and our -- and our
21 outreach campaign is a -- not just the veteran,
22 but their families, the potential beneficiary -
23 - spouses and children.

24 **VICE ADMIRAL ZIMBLE:** And without objection,
25 let's move for a recommendation to that effect.

1 **RESUME BOARD DISCUSSION OF THE FUTURE OF THE VBDR**

2 We're scheduled for an evening session to
3 deliberate the future. And as I've listened to
4 the comments of this morning and this
5 afternoon, the comments from the chairs, the
6 comments from the other members of the Board, I
7 -- I think we have well articulated what we see
8 as the future; that basically the VBDR continue
9 in accordance with the charter; that each of
10 the subcommittees modify and refocus to -- to
11 meet the -- the current fine-tuning necessary
12 for the process of the -- of the two agencies
13 in working together and in moving along the --
14 these claims, and in providing for solid
15 communication. I haven't seen any
16 recommendation for -- from any chair that his
17 committee be dis-- his or her committee be
18 disestablished. I -- I have heard a
19 recommendation that each of those committees
20 needs -- has -- still has a mission that needs
21 to be continued and that, with some refocusing,
22 we can proceed with -- with the current
23 constitution of the Board. And I would ask my
24 esteemed and distinguished colleague for his
25 comment.

1 **DR. LATHROP:** Thank you, Mr. Chair. And I very
2 much agree with what you've been saying from
3 all that we've heard today, and I would like to
4 humbly volunteer to simply do not very much but
5 some rewording of that -- of the last half of
6 what I submitted last night in terms of what
7 was referred to then as a -- as a letter
8 requesting an alteration in the -- in the legal
9 framework, and now it's become clear we don't
10 need that. But in fact if you look at the last
11 half of what I wrote, it lines up pretty well -
12 - it's -- with in fact the recommendations that
13 we've come up with in the last hour from both -
14 - for -- for both the SC-3 and SC-4
15 subcommittees and the related work of the other
16 two committees. I'd be happy to volunteer to
17 do that this weekend and send it around, just
18 to give a little body and some -- some
19 substance what -- for what you've just said.

20 **VICE ADMIRAL ZIMBLE:** Okay, I appreciate that
21 and think that's a wonderful idea. I would ask
22 that you also -- in preparing that that you
23 look at the -- at the recent publication that
24 we have created which talks to the history,
25 accomplishments and future directions, and make

1 sure that -- that what's in that paragraph is
2 in consonance with what we have already stated
3 in our -- in our history. Okay?

4 **DR. LATHROP:** I will do so.

5 **VICE ADMIRAL ZIMBLE:** Okay, very good. Dr.
6 Swenson.

7 **DR. SWENSON:** This goes back to our
8 Subcommittee 2, so do you want to -- do -- are
9 you wrapped up on the future?

10 **VICE ADMIRAL ZIMBLE:** Sure, go ahead.

11 **DR. SWENSON:** Okay. I agreed to a last
12 recommendation, and I just want to clarify on
13 that last recommendation that the VA
14 incorporate information to include -- in the
15 veteran correspondence concerning RECA for
16 presumptive diseases. And you also added
17 something about the partial compensation. That
18 is already in their guidance to do the partial
19 compensation, and Brad Flohr has already agreed
20 that that is going to be part of training --
21 retraining at Jackson VARO. So I don't think
22 we need a recommendation on the partial
23 compensation issue 'cause it should be handled
24 by Jackson VARO and not, you know, thrown on
25 the veteran in -- in any kind of letter. So I

1 just wanted to clarify that.

2 **VICE ADMIRAL ZIMBLE:** Okay, that's fine. Okay,
3 I -- we -- we're scheduled to -- to resume
4 deliberations this evening. I'm not so sure I
5 see any need to do so, unless somebody really
6 wants to do so.

7 **DR. LATHROP:** Unless there's a legal reason --

8 **VICE ADMIRAL ZIMBLE:** Now -- now -- now wait a
9 minute. Okay. Recognizing that -- recognizing
10 that we have published in the *Federal Register*
11 that we will accept additional public comment
12 at 6:30 -- now it needs to be additional. I
13 don't want to hear the same thing again, but if
14 there is additional comment, there may be
15 additional veterans that plan to attend for an
16 ability to testify this evening, so I'm going
17 to resume with a quorum at 6:30 and stay until
18 we've decided whether or not there are
19 additional -- additional public comments. I
20 don't think that we have any other business,
21 except a few things -- a few final closing
22 remarks I want to make, but no other business
23 that would require the full attendance of the
24 entire Board.

25 Mr. Groves.

1 like I -- I had something like only 2.5, and
2 then my eyes received 6.5. Now what -- you
3 know, how -- what is the total intake or is
4 that way how it's figured? Or -- now on the
5 amount of rems, I --

6 **MR. GROVES:** Well, there are -- there were
7 estimates made of -- on groups of veterans
8 based on what kind of activities they were
9 based in -- based on doing as to what their
10 doses might be. And of course each individual
11 veteran, when they file a claim, if it's one of
12 the diseases that requires a dose
13 reconstruction there would -- a detailed one
14 would be -- would be built. We just thought we
15 would start, in this additional outreach
16 effort, to start with a manageable group of
17 people and that's why we chose the group that
18 had been identified as likely having over 5
19 rem. Remember, for -- there's two programs
20 under the Veterans Administration in which you
21 can receive compensation, and one is for if you
22 have one of those listed disease -- diseases,
23 and -- and if you have those diseases, the dose
24 is not an issue. It's only the fact that you
25 were a participant in those activities. So

1 that list of the presumptive diseases, the 22
2 diseases, you -- the dose does not become an
3 issue if you have one of those diseases.

4 **MR. KING:** Now it's become confusing again
5 because like I was speaking to your girl right
6 there, now I'm not an atomic vet but I've still
7 been given amount of rems that I had, but I'm
8 not an atomic vet.

9 **MR. GROVES:** And if you're not an atomic vet,
10 you -- you --

11 **MR. KING:** She said.

12 **MR. GROVES:** -- yeah, you're still eligible for
13 the -- if you were to develop cancer or a
14 disease, to go through Dr. Cassano's
15 organization for -- as an occupational worker
16 in the military --

17 **MR. KING:** I did.

18 **MR. GROVES:** -- and I believe you told me you
19 worked on nuclear weapons --

20 **MR. KING:** That's correct.

21 **MR. GROVES:** -- so you, like people who were
22 nuclear submariners or X-ray technicians or
23 dental technicians that received radiation
24 exposure as a part of your occupation in the
25 military, if you get a disease, you are still

1 eligible to go through the VA. You may or may
2 not be awarded a compensation, but there is a
3 program in which you could go through and have
4 your case evaluated.

5 **MR. KING:** I don't know where it's at because
6 this is the closest they've ever come to giving
7 me some type of an outlet to -- to speak to a
8 board or a committee or someone to even -- that
9 even understands what certain radiations are,
10 you know. I mean everybody else at -- at
11 different -- like Tucson Veterans
12 Administration, they don't even know what I'm
13 talking about when I said I've got a dose of,
14 you know, this or that, and so they just look
15 over it, you know, it's just --

16 **MR. GROVES:** Well, I'll be glad to get with you
17 when we get -- when we go on our next break and
18 see if there's anything I can offer. I live in
19 Albuquerque so, if nothing else, we're
20 neighbors. Okay?

21 **MR. KING:** But I -- I just want to mention, you
22 know, I -- I didn't spend about \$1,500 for
23 nothing coming over here to speak to somebody
24 saying hey, I've kind of been shafted in the
25 past, you know, and so that's the reason --

1 that's the reason I'm here.

2 **VICE ADMIRAL ZIMBLE:** I think, Mr. Groves, that
3 when you have your discussion you might point
4 out the level of radiation absorbed and its
5 potential effect, because this is -- that's a
6 relatively low dose. It's no more than a one-
7 year towards a lifetime, basically, and it's
8 well under the what I would call a threshold
9 for radiogenic conditions.

10 But at any rate, think -- I think he needs some
11 better explanation than he has regarding the
12 dose he's received.

13 **MR. KING:** I thought it was --

14 **MR. GROVES:** Happy to do it for my neighbor.

15 **MR. KING:** -- five that it was -- recommend to
16 come here and -- and so my eyes got 6.5, so --
17 and I am having real trouble with glaucoma
18 right now, and so (unintelligible) shut up --
19 I'll shut up.

20 **VICE ADMIRAL ZIMBLE:** Okay.

21 **DR. LATHROP:** Yes. Mr. Chair, were you
22 suggesting we adjourn now until 6:30? In which
23 case I would suggest there's one topic, very
24 limited, that we might want to discuss while
25 we're sure we have a complete representation of

1 the Board.

2 **VICE ADMIRAL ZIMBLE:** Okay. I have at least
3 one or two more.

4 **DR. LATHROP:** Oh, okay.

5 **VICE ADMIRAL ZIMBLE:** Okay, but go ahead.

6 **DR. LATHROP:** So we could -- we could decide to
7 break for a bit and come back.

8 **VICE ADMIRAL ZIMBLE:** No, no, no, let's not do
9 that.

10 **DR. LATHROP:** Okay.

11 **VICE ADMIRAL ZIMBLE:** Go ahead -- go ahead with
12 yours.

13 **DR. LATHROP:** My one topic is I think things
14 are very clear about what we want to do, what
15 needs to be done and how it should be done and
16 recommendations. The one thing we haven't
17 arrived at is pace, how many Board meetings per
18 year.

19 **VICE ADMIRAL ZIMBLE:** Correct. Correct.

20 **DR. LATHROP:** That just came up between Dr.
21 Groves and myself in thinking that we'd want to
22 have at least one SC-4 meeting between, but
23 then we said oh, that's not enough if we only
24 meet as a Board once a year, but three times a
25 year it's great.

1 **VICE ADMIRAL ZIMBLE:** With an oversight, I --
2 well, I'm going to open this up to the Board.
3 I mean this is your -- being discommoded. We
4 need to make a decision regarding the next
5 meeting. It does -- we don't have to make the
6 absolute date decision today. We can go out
7 via e-mail to do it. But there will -- there
8 are funds for next fiscal year, and so I would
9 say sometime in early October might be a good
10 time for the next meeting, and then I would ask
11 the Board whether -- I think an oversight board
12 has to meet more than once a year. I think if
13 you're going to do oversight, you've got to
14 over-- sight -- you've got to oversee, and so
15 whether we have two meetings or three meetings,
16 I'm going to -- I'm going to ask for
17 recommendations from the Board for that. We
18 can do that right now.

19 Mr. Groves.

20 **MR. GROVES:** You had said that we were funded.
21 At what level are we funded? Are we funded to
22 have multiple meetings per year and multiple
23 subcommittee meetings per year? Because that,
24 I believe, would -- I think -- I'd hate for us
25 to make a decision that we'd love to have, you

1 know, four meetings and find out that we're
2 only funded for two, so...

3 **VICE ADMIRAL ZIMBLE:** I think Dr. Blake can
4 probably give us a ball -- a stadiumetric
5 figure -- that's a ball park.

6 **DR. BLAKE:** What -- discussing with VA, and
7 we're going into a 50/50 percent funding for
8 the Board, but DTRA still picks up travel funds
9 separately from that. That's just for the
10 Board's contract staff.

11 What we were looking at doing initially was one
12 full Board meeting per year and two
13 subcommittee meetings per year, but there are
14 ways to be much more cost effective and other
15 advisory boards do that, too. One -- one way,
16 for instance, our comparable board over at the
17 energy employees group, it's called Advisory
18 Board on Radiation and Worker Health, they
19 actually have teleconferences sometimes instead
20 of flying everybody in. And you can still have
21 a Board meeting even if you don't -- it's
22 almost a virtual meeting, too. I certainly see
23 the basis for flying people in at least once a
24 year and everybody getting together, but when
25 we look at our budgets from the Departments,

1 obviously if we're given guidance, we can
2 expand. I mean -- but what we've budgeted for
3 right now is one full-time get-together meeting
4 per year and two subcommittee meetings where
5 people fly in and get together, too. If we
6 want to change that, it's good to hear that
7 because we'll have to revise our budgets and --
8 and go up our chain of command. But if you
9 want to do virtual meetings, that cost is
10 fairly minimal and we can arrange that with
11 telephone call-in numbers and so forth, too.
12 So I guess what I would ask is at least some
13 consideration on some flexibility of how we do
14 this from a cost basis.

15 **DR. LATHROP:** I would like to bring to the
16 attention of the Board what I've now become --
17 come to call the Groves Doctrine, and that is
18 that we've found that, us being human beings,
19 actually face-to-face meetings can be quite
20 effective. And the face-to-face meeting we had
21 at VA a couple of months ago was quite
22 effective. And seeing some of the work we
23 would like to do in terms of both
24 communication, more on the QM side for VA, and
25 other things, I would submit that -- and all

1 that we've laid out -- I mean I just -- I'm
2 pleasantly delighted with the concreteness with
3 which we've laid out a lot of the things that
4 need to be done. Almost all of them would
5 benefit by face-to-face meetings -- actually,
6 come to think of it, more at the subcommittee
7 level than the full Board level. So I would
8 humbly submit -- I mean it's your money, okay?
9 But -- or the two agencies' money, but I would
10 submit that that would be best served by a
11 budget that would involve perhaps two Board
12 meetings a year and several subcommittee
13 meetings, which include face-to-face -- all in
14 Washington, just because of the mechanics of
15 coordination between agencies.

16 **VICE ADMIRAL ZIMBLE:** And until we can get some
17 better definitions from the two agencies
18 regarding the funding that will be available, I
19 suggest that we request that we have two Board
20 meetings per year and that we have a face-to-
21 face subcommittee meetings of some -- I can
22 count. I'm glad you didn't have to take your
23 shoes off; I'd be really worried about that.
24 But at any rate...

25 **MR. WRIGHT:** I would like to add one thing from

1 the Federal Advisory Committee perspective, is
2 that the -- the meetings are open meetings, and
3 if you were to say do them in a video
4 teleconferencing method, we would need
5 justification for why we would have to close --
6 why that meeting would not be -- be open to the
7 public.

8 **DR. BLAKE:** If I may follow on, what our fellow
9 boards do is they hold teleconferences, but
10 anyone can call in, including members of the
11 public, so they consider that an open meeting,
12 by other -- maybe that's not -- maybe that's
13 not the definition within the Department of
14 Defense, but other federal advisory boards
15 working for other agencies allow members of the
16 public to call in and that's how they consider
17 it a public meeting.

18 **MR. WRIGHT:** I could get a readout from the
19 committee management office on that.

20 **VICE ADMIRAL ZIMBLE:** Okay, we'll have the
21 agencies investigate the various alternatives,
22 but I think at least two face-to-face meetings
23 of the entire Board are essential, at which
24 time we can have at least two subcommittee
25 meetings for each of the subcommittees.

1 **DR. LATHROP:** For each of the subcommittees --
2 oh, I see.

3 **VICE ADMIRAL ZIMBLE:** Because they're all here.
4 Right?

5 **DR. LATHROP:** Oh, I see.

6 **VICE ADMIRAL ZIMBLE:** And I don't think there's
7 any need for any movement around the country.
8 I think we can find -- I think we can find the
9 metropolitan Washington area is best because we
10 have the agencies' homes there so we have more
11 concentration available to us.

12 Dr. Swenson and then Dr. Ritter -- or Mr.
13 Ritter. Okay, Mr. Ritter and then Dr. Swenson.

14 **MR. RITTER:** Admiral, I just wanted to mention,
15 for the sake of scheduling, that we have
16 scheduled a NAAV annual convention in New
17 Orleans this year on the weekend of October 3rd
18 -- that's a Saturday -- so it's going to be
19 difficult for me to make a Board meeting if --

20 **VICE ADMIRAL ZIMBLE:** Okay.

21 **MR. RITTER:** -- that conflicts.

22 **VICE ADMIRAL ZIMBLE:** The exact date will be --
23 will be submitted electronically and every --
24 we'll make sure that we -- we can accommodate
25 every member. Okay?

1 Dr. Swenson.

2 **DR. SWENSON:** To follow on to that, I would say
3 you might want to consider meeting in
4 conjunction with the NAAV, maybe for one last
5 time before we, you know, focus in on D.C. I
6 think that one of the first meetings was with
7 the NAAV --

8 **VICE ADMIRAL ZIMBLE:** Tampa --

9 **DR. SWENSON:** --in Tampa and you know, our
10 outreach still is really not working that well.
11 So I would say it may be worthwhile to have one
12 there.

13 Now let me change the subject. We als-- SC-2
14 would probably like to go to Jackson VARO for
15 one of our subcommittee meetings in the future,
16 once the VPN, you know, is in, so -- that would
17 not be in D.C., so we might want to have one of
18 our meetings there instead, so if you could
19 consider that.

20 **VICE ADMIRAL ZIMBLE:** Okay, so I'm going to
21 modify the request to two annual meetings, to
22 ha-- and I think -- I think we really can't
23 afford to do the New Orleans thing -- two
24 meetings in the D.C. area, and then we'll ask
25 that one of the -- that one subcommittee be

1 funded to go to -- to Jackson VARO, at a time
2 to be decided -- to be determined, towards the
3 end of the next fiscal year --

4 **DR. SWENSON:** That's good, yes.

5 **VICE ADMIRAL ZIMBLE:** -- and, in addition to
6 that, that as -- as far as the NAAV meeting is
7 concerned, I think it would behoove us to have
8 a representative or two from the Board go and
9 make presentations at that NAAV meeting to help
10 with the communications. So if you would
11 consider those as -- resource-poor right now --
12 to see whether or not they could be funded
13 would be very helpful.

14 Dr. Blake.

15 **DR. BLAKE:** I'll have to talk with VA, but I
16 don't think it's going to be a big problem.

17 **VICE ADMIRAL ZIMBLE:** Okay.

18 **DR. BLAKE:** I know what the costs are and what
19 we can do and I'll discuss with my colleague,
20 but I think we can support the Board's request.

21 **VICE ADMIRAL ZIMBLE:** Okay, very good. Thank
22 you very much.

23 Now I just want to bring up one other top--
24 oops, I'm sorry, Mr. Groves.

25 **MR. GROVES:** I guess the only thing I wanted to

1 clarify is that we have historically always had
2 the day before our Veterans Advisory Board
3 meeting dedicated to subcommittee meetings. I
4 would implore you that we have an opportunity
5 for separate subcommittee meetings between the
6 regular meetings so that ultimately that would
7 mean that the subcommittees could meet up to
8 four times a year. You know, once in
9 conjunction -- or in conjunction with each of
10 the actual Board meetings and then separate
11 meetings, if -- if we needed them in order to
12 prepare for those meetings, and --

13 **VICE ADMIRAL ZIMBLE:** Well, again, it really
14 depends on how far the funding will go. I --

15 **MR. GROVES:** Yeah.

16 **VICE ADMIRAL ZIMBLE:** And if possible -- and if
17 that can be worked out, that's fine with me.

18 **MR. GROVES:** Yeah.

19 **VICE ADMIRAL ZIMBLE:** But don't forget that
20 subcommittees are not subject to the FACA
21 rulings --

22 **MR. GROVES:** Right.

23 **VICE ADMIRAL ZIMBLE:** -- and it's possible to -
24 - it's readily available to do those with --
25 electronically.

1 **MR. GROVES:** Yeah. Well, for our subcommittee,
2 for example, only two of us have to travel in
3 order to have essentially our whole
4 subcommittee and representatives from the VA
5 and DTRA, so it's a -- it's, you know,
6 relatively small cash outlay in order to do --
7 and I certainly agree with John that, for the
8 kinds of things -- issues we're working right
9 now, I think that there's certain value in
10 face-to-face meetings. But we'll be sensitive
11 to the issue --

12 **VICE ADMIRAL ZIMBLE:** Right, I think --

13 **MR. GROVES:** -- of cost savings.

14 **VICE ADMIRAL ZIMBLE:** -- Dr. Blake can probably
15 fund you for a red-eye.

16 **MR. GROVES:** Red eyes are fine with me.

17 **VICE ADMIRAL ZIMBLE:** There's a -- there's one
18 other issue I want to bring up. We talked
19 about the future of the Board, and we talked to
20 the two agencies and the need for the agencies
21 to work together and to cooperate well, which
22 they're doing. But there is one other entity
23 that -- to whom we are beholden, and that's the
24 NCRP.

25 They have -- the NCRP was -- was responsible

1 for the initial formulation of the VBDR in the
2 original presentation to Congress, supported
3 both agencies as they put together their
4 report, and was instrumental in the selection
5 of the candidates for membership, and has
6 provided us remarkable support throughout the
7 four years that we have been in session for
8 these nine meetings, and for all the
9 subcommittees and provided subcommittee support
10 in terms of minutes, all the administrative
11 support. They -- the NCRP, thanks to Tom
12 Tenforde, drafted the original -- the first --
13 the first draft of the history of the VBDR. He
14 has really been a part and parcel of this
15 Board.

16 Now thanks to federal procurement regulations,
17 there's now a request for a -- for bids for --
18 for contract renewal, and I would just -- the
19 level of expertise that the NCRP is -- is
20 unparalleled by any other organization that --
21 of which I am aware in terms of the numbers of
22 people that can bring to this Board the types
23 of information that we absolutely require, not
24 just -- not just old information, not just
25 current information, but they can put -- they

1 put together reports ongoing that deal with
2 every level of radiation -- ionizing radiation
3 dose exposure, safety, detection, et cetera.
4 And I can think of no other organization that
5 would be more vital to the best functioning of
6 this organization than the NCRP.

7 So, I'm offering a plea that as the contract
8 negotiations proceed, that either as -- either
9 remaining as contractor or being strongly
10 considered as the subcontractor to the new
11 contractor, NCRP I believe to be absolutely
12 essential to this Board. I don't know if I
13 have a consensus from this Board on this issue.
14 I would hope that I do. I might ask if there
15 is an agreement from the Board as to my
16 assessment of the role of the NCRP, but I would
17 make a strong plea, and I would like to
18 represent the full Board in making that plea.
19 Any --

20 **MR. GROVES:** So is that a motion?

21 **VICE ADMIRAL ZIMBLE:** Can I -- I can't make a
22 motion -- I can't make a motion, but somebody
23 else could. Yes, sir.

24 **MR. VOILLEQUÉ:** I think perhaps we should
25 consult Dr. Blake on the vagaries of the

1 federal procurement process.

2 **VICE ADMIRAL ZIMBLE:** I -- listen, the vagaries
3 of the federal procurement process will remain
4 vague. I can promise you that. The issue is,
5 what is the desire of this Board in terms of
6 having -- continuing some good, solid
7 relationship -- I don't care whether -- in what
8 form it takes, but that we have a -- that we
9 maintain a good relationship with NCRP. I
10 can't -- I just cannot see us being divested of
11 that very important function.

12 Yes, Mr. Beck.

13 **MR. BECK:** I'd just like to point out that
14 several of us have relationships with the NCRP
15 so I don't think we could vote on this matter.
16 There's a conflict of interest there, so --

17 **VICE ADMIRAL ZIMBLE:** Okay. All right.

18 **MR. GROVES:** Those of us that do can not vote.

19 **VICE ADMIRAL ZIMBLE:** Okay. Well, I don't know
20 -- I'm not so sure I want to vote. I really
21 want to know whether or not there's anyone
22 opposed to that -- to that concept. If there's
23 no opposition, then I'm satisfied that we have
24 -- that I have stated the sense of the Board.

25 Dr. Swenson.

1 **DR. SWENSON:** I think this should be dropped,
2 the whole discus-- it's a contracting issue.

3 **VICE ADMIRAL ZIMBLE:** Okay.

4 **DR. SWENSON:** You voiced your positive opinion
5 for NCRP, which I agree with, but I think --

6 **VICE ADMIRAL ZIMBLE:** Okay.

7 **DR. SWENSON:** -- the rest should be dropped.

8 **VICE ADMIRAL ZIMBLE:** Okay, okay, I agree with
9 that.

10 **MR. RITTER:** Dropped.

11 **VICE ADMIRAL ZIMBLE:** I hereby adjourn. I ask
12 that there be a quorum here at 1830 -- okay? --
13 for -- 1830, that's -- for those that -- for
14 the uninitiated, that's 6:30. For Dr. Lathrop,
15 that's when Mickey Mouse has both hands...

16 (Whereupon, a recess was taken from 4:08 p.m.
17 to 6:52 p.m.)

18 **VICE ADMIRAL ZIMBLE:** I'd like to reconvene. I
19 was not planning -- unless we had someone to
20 provide public testimony, I was not planning to
21 reconvene. However there are several issues
22 that have come up as I -- as I've been walking
23 about that suggest that we do reconvene, at
24 least get something official on the record.
25 Number one, we -- you should each have

1 distributed from John Lathrop this
2 recommendation, the one recommendation that we
3 suggested for SC-3. It's been well word-
4 smithed and I look to the chairman -- Mr.
5 Chairman, is this acceptable to you?

6 **DR. REIMANN:** Yes.

7 **VICE ADMIRAL ZIMBLE:** Then we'll officially
8 submit this as the recommendation -- the single
9 recommendation for Subcommittee 3.
10 Secondly, had a discussion with the chairman of
11 SC-1, who feels very strongly that it is -- it
12 is a -- not a frugal use of taxpayers' money to
13 meet too often for the total Board. And he
14 feels that between nine months and one year --
15 why he picked nine months, I don't know, he's
16 not a gynecologist, but -- but he said anytime
17 between nine months and one year would be
18 sufficient for the large committee; that we
19 should use those travel resources for the
20 subcommittees to get together and each
21 subcommittee can perform its own oversight, and
22 then we'll use the -- use the full Board
23 meeting to review the results of the
24 subcommittees' work, and then take it from
25 there. So unless there is a rationale that's

1 not apparent right now for more than one
2 meeting a year, then that's what we'll suggest.
3 And we can time that meeting when everyone
4 looks at their calendars -- why did I not know?
5 Okay. Mr. Groves.

6 **MR. GROVES:** My dear Admiral --

7 **VICE ADMIRAL ZIMBLE:** Yes.

8 **MR. GROVES:** -- I would like to not disagree
9 with Harold, but I do think that by restricting
10 ourselves to once -- once a year frequency
11 might not offer us the opportunity to move
12 forward as much as we would like to on the new
13 paradigm we discussed today, and that was the --
14 -- still maintain the Board and the four
15 committees, but to focus on the quality
16 management plans at both of the -- both of the
17 different organizations that we're working
18 with. And clearly, as I think we would all
19 agree, there is a need to move forward on the
20 outreach plan. And I would think that we've --
21 as, you know, I'm the eternal optimist. I am
22 certainly pleased with the support we've had
23 from the VA and I look forward if Brad becomes
24 the person -- whether he's the person on the
25 Board or he's the person we're going to deal

1 with in the benefits side of the house as I
2 mentioned in my testimony how happy we were to
3 have Dr. Cassano on the VHA side of the house.
4 So I guess I would opt more for a meeting
5 frequency of -- from a funding standpoint,
6 looking at Dr. Blake -- of funding for two
7 meetings every 18 months, and then funding for
8 an additional two or three meetings of the
9 subcommittees, if they feel they need to meet
10 and I certainly believe that John and I and --
11 and I think I can speak for John Boice, the
12 other member of the outreach committee, that at
13 least at the front end of our process that we
14 would benefit from that frequency of meeting.
15 In addition to the assumption that whenever the
16 Board meets as the full Board, that the day
17 before that meeting would be available for
18 subcommittee meetings, should the subcommittees
19 choose to do that. So --

20 **VICE ADMIRAL ZIMBLE:** Well, that's interesting,
21 two -- two meetings --

22 **MR. GROVES:** Yeah, I'd rather not
23 (unintelligible) too tight a box.

24 **VICE ADMIRAL ZIMBLE:** -- two meetings every 18
25 months, I -- you know, my math is fairly

1 simple.

2 **MR. GROVES:** Yeah.

3 **VICE ADMIRAL ZIMBLE:** Two meetings every 18
4 months sounds like exactly what has been
5 recommended as one meeting every nine months.
6 Let me suggest this -- let me --

7 **MR. GROVES:** With some -- with some ability to
8 move those meetings -- and I haven't even had a
9 drink yet, so --

10 **VICE ADMIRAL ZIMBLE:** But you're driving me to
11 one.

12 **MR. GROVES:** -- but I did stay at a Holiday Inn
13 last night.

14 **VICE ADMIRAL ZIMBLE:** A Holiday Express?

15 **MR. GROVES:** Yeah.

16 **VICE ADMIRAL ZIMBLE:** Yeah. Let me -- let me
17 say this. Let me propose this for the -- for
18 the group to consider. We have put forward
19 pretty much a concept from each of the chairs
20 as to what they see -- and it's been accepted
21 by the Board -- as what they see as their way
22 forward in -- in terms of oversight and quality
23 reviews from SCs 1, 2 and 3, and most -- most
24 urgently exploring methodologies for outreach
25 communication and working that issue very hard.

1 I'm going to charge each of those committees to
2 work very diligently on that, and then we'll
3 look at somewhere between six and nine months
4 to see what products you have, because
5 remember, it takes the full Board to make
6 recommendations to the agencies.

7 **MR. GROVES:** Yeah.

8 **VICE ADMIRAL ZIMBLE:** In order to formally look
9 -- if you -- if we find something in the next
10 six to nine months that's -- that's worthy of
11 strong recommendations from this Board to one
12 or the other of the agencies, then -- then
13 that's the time to convene. So we will -- we
14 will convene somewhere within the next nine
15 months to a year, depending upon the work
16 product from each of the -- from each of the
17 subcommittees and -- and a need to get
18 consensus from the Board regarding
19 recommendations.

20 How's that?

21 **MR. GROVES:** Well, I think that's fine. One of
22 the responsibilities of Subcommittee 4 is to
23 work with NCRP staff on meeting planning
24 activities, and I guess I would like for us to
25 be able to go out to the Board as a whole and

1 look at what available dates might be in the
2 February/March time frame next year. Because,
3 as -- as we have learned from history, this
4 group -- it's not real easy to get us all
5 together without planning in advance.

6 **VICE ADMIRAL ZIMBLE:** No question about it.

7 **MR. GROVES:** I can't imagine that by that time
8 we -- we wouldn't have something that would be
9 worthy of a full Board meeting. And then it
10 might be at that meeting that it might look
11 like maybe it might slip to ten or 11 months
12 after that. But I guess I would like to look
13 at the nine-month time frame from now as --
14 look for availability, and then we could make a
15 decision between the Chair and the subcommittee
16 chairs as to whether we lock a date in or not.

17 **VICE ADMIRAL ZIMBLE:** Mr. Beck.

18 **MR. BECK:** I'm happy.

19 **VICE ADMIRAL ZIMBLE:** Okay.

20 **MR. BECK:** I'll buy that.

21 **VICE ADMIRAL ZIMBLE:** Any other comments
22 regarding the recommendation from -- from our
23 communications chair?

24 Dr. Blake.

25 **DR. BLAKE:** Just speaking for the agencies, we

1 also support that, too. To get ready for a
2 meeting to present really significant
3 improvement to you, I can't do it in a few
4 months, really -- on where we're going. In the
5 earlier part of this group we met more
6 frequently, things were easier to -- to make
7 those breakthroughs in expedited dose. Now
8 it's -- it's harder science pushing things
9 through, and so the nine-month frequency -- and
10 I -- Brad probably feels the same way -- we do
11 need that time to really present substantial
12 improvements to you based on your
13 recommendations. If we meet too quickly as a -
14 - as a full group, I'm not ready to discuss it.
15 I certainly do support, though, the
16 subcommittees meeting in between. That's where
17 I get the feedback and the help, and I'm
18 reporting back into you via that system, too.

19 **VICE ADMIRAL ZIMBLE:** I will give you nine
20 months for growth and development. At the end
21 of that gestation period we'll have --

22 **UNIDENTIFIED:** Birth a meeting.

23 **VICE ADMIRAL ZIMBLE:** -- we'll birth a meeting,
24 right. Okay. Hopefully it will not be
25 desultory labor, as -- as some of the previous

1 meetings have demonstrated.

2 Okay, if there's no further business -- uh oh,
3 Dr. Fleming.

4 **DR. FLEMING:** This is related to the timing of
5 the meeting but not -- not quite on that same
6 topic. But I'm concerned -- you know, this is
7 about the recommendation from SC-3, which I
8 think -- from what I can tell -- is a -- is a
9 really very good recommendation. But for SC-2,
10 this is I think a -- or for the VA, I should
11 say, and -- this is -- strikes me as quite a
12 challenge. And so I'm just -- I'm just trying
13 to understand how in nine months or in 18
14 months how -- how this is going to happen.
15 Now I do see that SC-3 is offering to assist
16 and guide the agencies in developing the QQR
17 and the DSS. But I also think that in the case
18 of the VA it's important for SC-3 to also
19 interact with SC-2 as these are developed, and
20 SC-2 already has quite a bit on its plate when
21 it comes -- you know, with respect to the --
22 moving forward with the additional audits,
23 stratifying some of the -- some of the data
24 that we have from the 50 audits that have been
25 done, and making a Jackson VARO visit. So I

1 don't have a recommendation, I'm just
2 expressing a cautionary note here because I --
3 I'm afraid -- well, what I would not like to
4 find out in nine months is a report from VA or
5 from some other, you know, committee that this
6 really didn't fly or couldn't happen or there
7 was a substitute process already in place that
8 effectively did this, and there wasn't enough
9 communication in between time for us to -- to
10 really work on -- on the -- on this whole
11 phenomenon. Did -- am I making sense here?

12 **VICE ADMIRAL ZIMBLE:** Yes, you certainly are,
13 and -- and I can under-- I can understand the
14 trepidation, especially when you're -- when
15 we're such a small portion of the workload of
16 the VA, and the VA does have already
17 established a fairly sub-- sophisticated system
18 -- in fact, as we've learned, a hierarchy of
19 systems -- for reviewing quality.

20 What we're looking for, though, is for this
21 small group, which can now be concentrated in
22 one VARO, in just Jackson VARO, a process by
23 which they're working that could be -- that can
24 be tied into their SOP with-- without causing -
25 - without -- without impediment, and the only

1 way to learn whether or not that's going to
2 impede them is to -- is to assess it -- its
3 value. So I think it's -- let -- let's leave
4 it as a trial, and if it's -- if it's not
5 effective, then we'll discontinue it. But
6 let's -- let's have a -- something -- have SC-3
7 working to try to build a process of review of
8 the quality of the processing of the atomic
9 veterans' claims that is -- and somehow can be
10 integrated well with the process that's going
11 on for the quality assessment of the -- of --
12 of NTPR so that we can look at a -- basically a
13 quality process that -- that bridges the -- the
14 two agencies. I don't know whether I'm -- I'm
15 stating that as clearly, but my sense is that
16 we need to be able to assess the entire
17 process, including the handoffs back and forth,
18 and that we need a product from Jackson VARO
19 that -- that in somehow enhances the ability to
20 assess that qua-- the quality of that work.
21 What that form is ultimately going to look
22 like, I don't know. I think you're going to
23 have to work with it and look at it to see what
24 works, and -- and I -- I think you've got --
25 got some real pros to assist.

1 Dr. Reimann.

2 **DR. REIMANN:** Yeah, I just want to pick up on a
3 couple of things I heard here in the last few
4 minutes. Paul's comment is extremely well
5 taken that at this point the time constant of
6 the agencies to do this work is critical, so we
7 shouldn't really meet until they've had a
8 chance to do it and to -- and to have something
9 to demonstrate.

10 And in Patricia's comments I really heard two -
11 - two messages. One is reinforcement, not only
12 the fact that since we're working more together
13 now, we have to take into account the natural
14 work process of the different groups, and we
15 can't just pretend that somehow this is all
16 synchronized with the gears hooked up with one
17 another. And so if you're going to be
18 planning, for example, some kind of a session
19 with the Jackson RO, we'll either have to be
20 participating in that and, at the very minimum,
21 we'll have to get the output of that. And then
22 we have to deliberate on it and so on. So
23 these are ver-- these are things that have to
24 be stacked end-to-end in a way that makes
25 sense, and one subcommittee can't be saying

1 well, in order for us to do our work, you have
2 to have all these things you do.

3 But the other thing I heard within it was some
4 question about what VA could or couldn't do.

5 And I -- I have that same observation, but it's
6 based largely on just simply not knowing. But
7 that's -- that means that that's something
8 we'll have to get at real soon because there's
9 the issue of not doing versus how long it takes
10 to do, and no-- but those things have
11 characteristics that we can also observe.

12 But I would also note, in the spirit of this
13 nine months, there's so much talk about this
14 nine months I'm thinking of buying a house near
15 a school.

16 **VICE ADMIRAL ZIMBLE:** Okay. Any other
17 comments?

18 Dr. Lathrop.

19 **DR. LATHROP:** I think you're legally obligated
20 to comment --

21 **VICE ADMIRAL ZIMBLE:** No, I -- I --

22 **DR. LATHROP:** -- just in case you're
23 considering not, I think there's a law
24 somewhere --

25 **VICE ADMIRAL ZIMBLE:** No, no, I -- yeah, I

1 recognize you.

2 **DR. LATHROP:** -- in one way or -- or another.
3 Just -- just a couple of comments. I -- I can
4 see the concerns of Patricia. I would -- I
5 would say, though, that nine months is not at
6 all an unreasonable time to come up with
7 designs for the QQR and DSS. I fully agree
8 that's optimistic for implementation, but it's
9 not optimistic for design. In fact, a
10 significant amount of that design can be done
11 between SC-3 and SC-2, although it should of
12 course always involve the VA as much as
13 possible. So I don't think nine months is
14 optimistic at all for the design.

15 The second comment I would like to make is for
16 SC-4 I would like to suggest, sort of in
17 public, to my esteemed chair that we set a pace
18 of about once every three months for the SC-4
19 meetings, which would meet twice between now
20 and perhaps the next meeting. What do you
21 think, Mr. -- Mr. --

22 **MR. GROVES:** I concur on that.

23 **VICE ADMIRAL ZIMBLE:** Very well, thank you very
24 much. I accept all the recommendations that
25 have been put forward. They seem -- they seem

1 to coalesce very nicely, and so that -- that
2 will be the decision of the Chair and I will
3 now task the one volunteer, our communications
4 chair, to seek out a date certain for the --
5 for the meeting, sometime in 2010.

6 **MR. GROVES:** Yes, sir.

7 **PUBLIC COMMENT SESSION**

8 **VICE ADMIRAL ZIMBLE:** Okay, very good. I
9 understand that we do have one individual who
10 would like to -- for public -- individual who's
11 just arrived, and before we -- we're just
12 getting ready to adjourn, but I'm trying to
13 read that -- I'm having trouble reading that
14 name. Is that -- is that Marilyn?
15 I'm having trouble reading this name, but is it
16 Marilyn?

17 **MS. FIFIELD:** Marilu?

18 **VICE ADMIRAL ZIMBLE:** Marilu, oh -- and I can't
19 read that last name -- I'm sorry, I -- you
20 write like -- your -- your handwriting looks
21 like mine and I can't read mine. Please --
22 please come forward.

23 **MS. FIFIELD:** Hello.

24 **VICE ADMIRAL ZIMBLE:** Hello. I understand that
25 you are the daughter of two vets?

1 **MS. FIFIELD:** Yes --

2 **VICE ADMIRAL ZIMBLE:** Okay.

3 **MS. FIFIELD:** -- an Army and Navy veteran.

4 **VICE ADMIRAL ZIMBLE:** Okay, and you have
5 something to present to this Board. We're
6 delighted to receive it.

7 **MS. FIFIELD:** Well, nothing of a formal nature,
8 although with there being so much in the news
9 lately from a health standpoint of how the
10 effects of certain chemicals, both from World
11 Wars I and II, as well as the Vietnam War, and
12 how they're not really discovering the
13 implications or the long-term effects on a lot
14 of the veterans. What I'm concerned about,
15 although my mother is in her 80s now, whether
16 her Parkinson's issues may have all -- may --
17 possibly been related to something she may have
18 been exposed to during the war, or whether it
19 was just an inevitable ailment that she was
20 going to get somewhere down the line.
21 And as far as my dad having early -- well, what
22 I think was early heart issues when he was
23 formerly a very healthy person, and having some
24 other odd symptoms related to rashes and so
25 forth. It always made be wonder, especially as

1 I got older and started hearing about all of
2 these studies and different chemicals and
3 different substances that the veterans were
4 exposed to, could they have been exposed to
5 stuff that -- 20, 30 years down the line --
6 caused these illnesses when they may have been
7 chalked up to something totally different --
8 where they worked. They worked in -- maybe
9 they might have worked in a factory and they
10 chalked it up to that, but may in fact have
11 been caused by what they may have been exposed
12 to at some point during their service. That's
13 just my educated supposition or guess.

14 **VICE ADMIRAL ZIMBLE:** Okay. I think that's a -
15 - that's a reasonable -- reasonable
16 supposition, and -- and there's work going on
17 all the time in various areas that will
18 discover new and -- new things that need to be
19 brought to the attention of the veterans. And
20 I would assure you that one of the roles of the
21 Veterans Administration as -- as an advocate to
22 the veteran is to constantly receive any new
23 information and to help assist in obtaining
24 that sort of information and making that
25 available to the veterans. This particular

1 Board is very specific in dealing with one
2 aspect of the large population of veterans.
3 We're specifically looking at those veterans
4 who we call atomic veterans, who participated
5 in atmosphere -- nuclear tests --

6 **MS. FIFIELD:** Testing of atomic weapons.

7 **VICE ADMIRAL ZIMBLE:** Right, and -- and who --
8 both -- both in the Pacific and in the United
9 States in Nevada, et cetera.

10 **MS. FIFIELD:** Right.

11 **VICE ADMIRAL ZIMBLE:** Or who was associated
12 with the occupational forces in Hiroshima and
13 Nagasaki.

14 **MS. FIFIELD:** Right.

15 **VICE ADMIRAL ZIMBLE:** And specifically directed
16 towards the irradiation potential --

17 **MS. FIFIELD:** Towards the effects they were
18 exposed to during either --

19 **VICE ADMIRAL ZIMBLE:** Right.

20 **MS. FIFIELD:** -- atomic testing or --

21 **VICE ADMIRAL ZIMBLE:** So that's the venue for
22 this particular Board.

23 **MS. FIFIELD:** Right.

24 **VICE ADMIRAL ZIMBLE:** But I would -- I would
25 just tell you that -- that there's always new -

1 - new and valid research going on throughout
2 the country that the Veterans Administration is
3 -- is -- can access and -- and does receive
4 readily, and if there's --

5 **MS. FIFIELD:** Right.

6 **VICE ADMIRAL ZIMBLE:** -- new things that do
7 come along, new concepts, new things, new
8 threats. Ag-- the Agent Orange threat, the
9 threat from -- I think one of the -- one of the
10 latest ones that people are concerned about is
11 the depleted uranium situation and what
12 potential threats there may be there,
13 constantly work between the VA and the
14 Department of Defense -- always concerned about
15 -- about the safety of the -- of the armed
16 forces and the veterans. So those things are
17 considered and as far as I am aware, there's
18 nothing -- no exposure in -- in the military
19 service that would lead to Parkinsonism. That
20 is above and beyond what happens in the
21 community as a whole --

22 **MS. FIFIELD:** Right.

23 **VICE ADMIRAL ZIMBLE:** -- and I do appreciate
24 your concern.

25 **MS. FIFIELD:** Thank you very much.

1

C E R T I F I C A T E O F C O U R T R E P O R T E R**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of June 10, 2009; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 10th day of July, 2009.

Steven Ray Green, CCR

STEVEN RAY GREEN, CCR, CVR-CM, PNSG**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**